

1

2 GENERAL MEDICAL COUNCIL

3

FITNESS TO PRACTISE PANEL (MISCONDUCT/PERFORMANCE)

4

5

On:

6

Wednesday, 11th July 2007

7

8

Held at:

St James's Buildings

9

79 Oxford Street

Manchester M1 6FQ

10

11

Case of:

12

GORDON ROBERT BRUCE SKINNER MB ChB 1965 Glasg SR

Registration No: 0726922

13

(Day 8)

14

15

16

Panel Members:

Mrs S Sturdy (Chairman)

17

Dr M Elliot

Mr W Payne

18

Mrs K Whitehill

Mr P Gribble (Legal Assessor)

19

20

-----

21

MR A JENKINS, Counsel, instructed by RadcliffesLeBrasseur,  
Solicitors, appeared on behalf of the doctor, who was  
present.

22

23

MR T KARK, Counsel, instructed by Eversheds, Solicitors,  
appeared on behalf of the General Medical Council.

24

25

1 Wednesday, 11th July 2007

2 (9.30 am)

3 THE CHAIRMAN: We will now proceed with the  
4 cross-examination of the witness by Mr Kark.

5 DR GORDON SKINNER (continued)

6 Cross-examination by MR KARK

7 Q. Dr Skinner, do you have D7, your curriculum vitae  
8 available to you?

9 A. Can you tell me the file?

10 Q. It's in a file, I don't think. It's handed out by your  
11 counsel yesterday.

12 A. Yes.

13 Q. I'm going to turn to that in a moment, I want to ask you  
14 this: as you sat and you listened last week to the  
15 evidence of Dr Cooke, Dr Blair, Dr Summers, Dr Cundy,  
16 Dr Ince, Dr Stewart, Dr Prentice, Professor Weetman, and  
17 Mr Lynn, did you think to yourself for a moment,  
18 "I might just be wrong about this"?

19 A. Of course. But I've just conducted a survey which  
20 reinforced the opposite view, which I would like to  
21 present to the Panel at some point.

22 Q. A survey of what?

23 A. A survey of endocrinologists in the United Kingdom.

24 Q. We didn't hear about that from Mr Jenkins. What aspect  
25 of your approach do you think you might have got wrong?

1 A. I don't think I said I felt I had something wrong,  
2 I felt that other people's views deserved due  
3 consideration.

4 Q. Right. Could we have a look at your CV and look at your  
5 own medical background, please. I hope the Panel have  
6 got this. We start with your qualification back in  
7 1965, you got your medical degree at Glasgow. Over the  
8 page, page 2, you're a house officer at the -- is it  
9 Glasgow Royal Infirmary?

10 A. Yes.

11 Q. In 1965 to 1966, and then in 1966 to 1967 you were  
12 a senior house officer in obstetrics and gynaecology, in  
13 fact that went on really up until 1968.

14 Then in 1969, you began your research fellowship in  
15 virology. Is that right?

16 A. Correct.

17 Q. You never became a registrar or a senior registrar, did  
18 you?

19 A. In obstetrics and gynaecology?

20 Q. At any stage in your career have you ever been  
21 a registrar? Have you been through a registrar's  
22 training?

23 A. No, you get honorary posts if you're in the university,  
24 I was an honorary consultant, for example.

25 Q. Have you ever trained under an endocrinologist?

1 A. Never.

2 Q. Your qualifications to date would not be sufficient to  
3 allow you to become a GP, would they?

4 A. I don't think so nowadays, no.

5 Q. You'd need to do another, what, four to six years'  
6 training?

7 A. I haven't contemplated, I can't answer you, Mr Kark.  
8 I don't know the answer to that question.

9 Q. It's a number of years training you would have to  
10 undergo to become a GP, wouldn't it?

11 A. I actually don't know, I haven't planned a career move.  
12 I really don't know the answer to that question, sorry.

13 Q. Since 1969 or up until the period that you've spoken  
14 about in the late 1990s, is it fair to say that you  
15 specialised in microbiology and vaccine research?

16 A. Yes.

17 Q. Would it be right to say therefore that you were based  
18 in a laboratory for much of the time?

19 A. Oh, quite a part of the time.

20 Q. If we turn to page 3 you're still a director, are you,  
21 of HIV-VAC Inc in the USA?

22 A. Yes.

23 Q. And you're Chief Executive Officer of Vaccine Research  
24 International Plc, yes?

25 A. No. I hope it's clear. It changed from Chief Executive

1           Officer to Chief Scientific Officer in the same year.  
2           I hope it's not confusing for everybody.

3   Q.   All right.  Then we can turn to your teaching, page 4.  
4           Under the heading "Medicine and Dentistry", you were  
5           in the department of medical microbiology, for 10 years  
6           you were responsible for the general administration and  
7           organisation of those courses, so was that in a teaching  
8           role effectively?

9   A.   Yes.  Part of the medical and dental courses.

10  Q.   All right.  Then you were involved under the heading  
11          Biological Sciences running an MSc course in virology.  
12          From 1965 to 1992 you were still involved in the  
13          department running the virology course to students.

14  A.   Yes.

15  Q.   We see over the page your MD, PhD, your MPhil, your MSc.  
16          None of those had anything to do with endocrinology or  
17          the study of the thyroid, did they?

18  A.   No.

19  Q.   Can we go to your research publications which are, if  
20          I may say so, impressive and lengthy, starting at  
21          page 7.

22  A.   Thank you.

23  Q.   Just leafing through those, starting at page 7, I think  
24          we get to page 14 before we find the first publication  
25          which was a letter to the BMJ in June of 1997, three

1 paragraphs up from the bottom, page 14.

2 Do you have that?

3 A. You mean the third publication up from the bottom?

4 Q. Yes. Page 14.

5 A. I think it must just be a page thing. That seems to

6 deal with my double blind control of a vaccine against

7 herpes genitalis in the United States.

8 Q. Was this -- are we on the same page?

9 A. I don't know.

10 Q. Page 14, right at the top, does it say, "GRB Skinner

11 chemotherapeutic"?

12 A. Yes.

13 Q. So three paragraphs up from the bottom: GRB Skinner,

14 Thomas, Taylor, is it Sellarajah, Bolt Krett --

15 A. Yes. I have it now, sorry.

16 Q. "Thyroxine should be tried in clinically hypothyroid but

17 biochemically euthyroid patients."

18 It was a letter to the BMJ, and that was your first

19 publication, was it, in this field?

20 A. Yes.

21 Q. Over the page you were obviously continuing with your

22 virology work and your vaccine work and then, page 15,

23 second paragraph, GRB Skinner, Holmes, Ahmad, Davies and

24 Benitez.

25 "Clinical response thyroxine sodium in clinically

1           hypothyroid but biochemically euthyroid patients.  
2           Journal of Nutritional and Environmental Medicine 2000."  
3   THE CHAIRMAN: That is the third paragraph down, is it not?  
4   A. Yes, third item.  
5   MR KARK: That was published in the Journal of Nutritional  
6           and Environmental Medicine, was that peer reviewed?  
7   A. To my understanding it's a peer review journal.  
8   Q. Then there is something on vaccinations, something else  
9           on herpes viruses, and then your book, which obviously  
10           isn't peer reviewed, is it?  
11   A. No.  
12   Q. Then below that, below 2003, we should really set aside  
13           in relation so far as your treatment of these patients  
14           are concerned because those were published this year,  
15           2007? Yes?  
16   A. I don't know how the Panel works. I don't know. I'm  
17           not sure --  
18   Q. In terms of your treatment of these patients that  
19           obviously postdates the issues that the Panel are  
20           considering. Yes?  
21   A. It says here the investigation is continuing and  
22           reported. I wouldn't tell the court how to do their  
23           work, but it seems quite relevant to me.  
24   Q. Well, it's your paper. When did that survey start?  
25   A. I would say about three or four years ago. It's an

1 ongoing -- I wouldn't call it an audit, but you're  
2 basically looking at the results of the practice.

3 Q. I see. Your two publications effectively are,  
4 I suggest, a letter to the BMJ and a publication in the  
5 Journal of Nutritional and Environmental Medicine.

6 We heard from Professor Weetman about that. Would  
7 you accept that it's not at the top of the scientific  
8 tree of publications, as it were?

9 A. No, I wouldn't accept that, nor do I accept the general  
10 concept that certain journals are -- let me put it  
11 another way. I don't think the value of an article  
12 relates to what journal it's in and, if I may say so,  
13 I thought it was a slightly sweeping condemnation of  
14 a journal that seems to me perfectly respectable and  
15 perfectly valid.

16 Q. Would that article, do you think, have been accepted in  
17 any endocrine journal?

18 A. I think the honest answer to this is: it would have  
19 difficulty because it would be slightly contraflow, not  
20 amazingly contraflow, to the generality of practice.  
21 But, as I say, I have conducted a survey to examine that  
22 issue.

23 It's always been a difficult theme throughout  
24 medical history to publish a new idea, if you like, or  
25 an idea that may be different from current practice.

1 I make no bones about that.

2 Q. Can we go back to your letter to the BMJ in 1997 which  
3 I think you accept was your very first publication.

4 Was this as a result of your seeing patients since  
5 1994 and stumbling across this issue, as it were?

6 A. I would say about 1997 there was a significant number of  
7 patients coming, yes.

8 Q. But by 1997, Professor Weetman and Mr Lynn between them  
9 would have had something like over 50 years of knowledge  
10 in the management of the thyroid.

11 Let me ask you again: as you listened to  
12 Professor Weetman particularly and Mr Lynn particularly,  
13 did it give you cause for concern about your own  
14 practice?

15 A. I have listened to many endocrinologists and tried to  
16 have good debate. It didn't give me any cause for  
17 concern that I was doing anything wrong but --

18 Q. Did it not?

19 A. -- as I said, I want to discuss -- take cognisance of  
20 people's views. I don't blindly carry on ignoring them.

21 Q. Well, we'll see. Let us look at the papers you  
22 presented at major scientific meetings, beginning at  
23 page 15. I think we can leaf through the very many  
24 pages of that section of your CV.

25 You'll correct me, but I think it's not -- it goes

1 up to page 30 and it actually goes right up to 2007.

2 Have you presented a single paper at any major

3 scientific meeting in relation to the issues that this

4 Panel are considering?

5 A. Yes.

6 Q. Which one is that?

7 A. I'm just looking for the actual title of it. I'm

8 getting there.

9 Q. Page 30 is when you start -- is around 1996, if that

10 helps you.

11 A. Yes, it has helped. I presented in March of this year.

12 Q. March of this year, yes?

13 A. 2007. Was that the question?

14 Q. Yes, all right. Where are we on your CV?

15 A. I'm on page 31.

16 Q. That's scientific meetings relating to hypothyroidism.

17 I was just asking about papers that you presented. I'm

18 working from your own CV. I think that section, perhaps

19 I'm wrong, I thought that section of your CV ended at

20 page 30.

21 A. Well, I've tried to indicate the papers presented at

22 meetings which relate to hypothyroidism on page 31.

23 Q. All right. I'm going to deal, I promise you, we are

24 going to deal with this. I'm not ignoring you for

25 a second. But back on page 30 we're in 1996 and that

1 takes us actually to the bottom of the page, to 2007,  
2 we have the anti-viral properties of a cactus, vaccines  
3 against dermatophilus, a lot of publications with people  
4 called Ahmad and Davies.

5 Who is Mr Ahmad?

6 A. I'm not quite sure what you mean. Who is he?

7 Q. How do you know him? What's his speciality? You've  
8 published a paper with him.

9 A. Yes, he is a microbiologist.

10 Q. Mr Davies?

11 A. He is a microbiologist. I think these are actually  
12 ladies.

13 Q. I beg your pardon, thank you. I wouldn't know that from  
14 looking at --

15 A. No, one never can.

16 Q. Page 31. Let's have a look at this.

17 "Scientific meetings relating to hypothyroidism.

18 "International workshop on diagnosis of

19 hypothyroidism in patients with 'normal thyroid

20 chemistry'. Birmingham October 2000; Organised and

21 Chaired by GRB Skinner."

22 Who did you have speaking at the meeting?

23 A. A Dr Peatfield.

24 Q. Is he an endocrinologist?

25 A. No.

1 Q. Then underneath that -- sorry, were there any other  
2 speakers?

3 A. Yes, there were patients. The endocrinologists who were  
4 invited wouldn't come, nary a one of them.

5 Q. I'm sorry to hear that.

6 A. Yes, a number of patients spoke and a most distinguished  
7 doctor from the United States called Dr John Lowe, who's  
8 written a magnificent book on the subject.

9 Q. On what subject?

10 A. Well, I think the title of his book is -- it concerns  
11 fibromyalgia, but it's largely to deal with  
12 hypothyroidism -- superb.

13 Q. "Relationship between myalgic encephalopathy and  
14 hypothyroidism."

15 Is that part of the same international workshop or  
16 is that something different?

17 A. That refers to the paragraph below it, I apologise, it  
18 may not be too clear, and that was a large number of  
19 talks I gave, including the British Thyroid Foundation,  
20 indeed, invited me to speak.

21 Q. I'm going to ask but the British Thyroid Foundation.  
22 That is a patient-based group, is it?

23 A. To be quite honest, I'm a little unclear what it is.  
24 Professor Weetman described it. It seems to be two  
25 groups. There is a British Thyroid Foundation and there

1 is a British Thyroid Association. One seems to be kind  
2 of patienty and one kind of doctory. That's the extent  
3 of my knowledge of this organisation.

4 Q. Did you speak at the meeting?

5 A. Yes.

6 Q. You did?

7 A. Yes.

8 Q. Well, who did you think you were addressing?

9 A. I was addressing the people who were members of, for  
10 example, the British Thyroid Foundation, the Eastbourne  
11 chapter of that Organisation.

12 Q. Were there any endocrinologists speaking at the meeting?

13 A. Speaking at the meeting?

14 Q. Yes.

15 A. No, I was, I think, was the only speaker. I was the  
16 invited speaker for the session, I suppose.

17 Q. Then we can see that since March of 2007 you've attended  
18 a number of meetings of the Anti-Aging Medicine World  
19 Congress. One in Nice -- sorry, two in Nice, one in  
20 Monaco. Sorry, two in Monaco. Must have been very  
21 pleasant.

22 Tell us about the Anti-Aging Medicine World  
23 Congress.

24 A. I think, in fact, this is under the auspices of  
25 Dr Hertoghe, who we will be hearing from later. It's

1           called Anti-Aging.

2           The area which I was involved in was basically to do

3           with problems in management and diagnosis of

4           hypothyroidism in which there was a number of excellent

5           talks, very open-minded, excellent symposium.

6   Q.   Did you meet Dr Hertoghe at those meetings?

7   A.   Dr Hertoghe was more or less running them.

8   Q.   Did you meet him?

9   A.   I think so.

10  Q.   Then --

11  A.   I know so. The answer is yes, sorry.

12  Q.   Yes, I expect you know whether you've met him or not.

13  A.   Yes, quite.

14  Q.   June 2007, last entry of that page, GRB Skinner.

15           Diagnosis of hypothyroidism, Houses of Parliament.

16           What was that all about?

17  A.   You mean what was the meeting about, yes?

18  Q.   It must be a very long answer if you tell us what the

19           Houses of Parliament were about.

20  A.   I was concerned about the question.

21  Q.   Tell us what you were doing there.

22  A.   "We" was a group of four or five people who were very

23           concerned about this problem. We were talking to

24           a group of members of parliament to try to stimulate

25           some kind of debate on this whole issue.

1 Q. We can see notable lectures, fellowship awards, patented  
2 inventions, patent filings, membership of professional  
3 associations, none of those, I think, have got anything  
4 to do with hypothyroidism, is that right? Unless I've  
5 missed something.

6 A. No, that's absolutely correct.

7 Q. You yourself have never conducted, taken part in any  
8 formally recognised research that has been published in  
9 a peer review journal, have you?

10 A. Yes.

11 Q. What's that?

12 A. There have been two papers presented, one in the BMJ and  
13 one in the Journal of Nutritional Medicine.  
14 I understood both of these were peer reviewed. I could  
15 be wrong on that. I didn't check it out.

16 Q. Was that recognised research?

17 A. I'm not being difficult, Mr Kark. I don't really know  
18 what you mean by that. I'm not being obtuse here.

19 Q. Were those based on research that you conducted with the  
20 permission of patients?

21 A. Yes.

22 Q. Which one?

23 A. Both.

24 Q. I want to examine your approach to the diagnosis and  
25 management of hypothyroidism. Can I just ask you if you

1 agree with this statement, that:

2 "The diagnosis and management of hypothyroidism

3 requires the application of sound scientific principles

4 and the art of medicine."

5 A. Yes.

6 Q. You would agree with that because you wrote it.

7 A. That's why I agreed with it.

8 Q. Right. Does your book accurately reflect your approach?

9 A. Absolutely.

10 Q. Does it give a true account of events that you have

11 actually been involved in?

12 A. To the best of my knowledge.

13 Q. Do you accept that where the disease of the gland, the

14 thyroid gland, is suspected, that one basic test that

15 should always be performed, except perhaps in a medical

16 emergency, would be a blood test?

17 A. Can I think about that question?

18 Q. Certainly.

19 A. That should always be performed ...

20 Q. I'll repeat it if you want?

21 A. No, no, I understood it. I'm not quite sure how to

22 answer it. This is not an answer and I'm not evading

23 the question, it is always performed either by myself or

24 the family practitioner. If I could choose, if someone

25 said, "You could either have a blood test or listen to

1 the patient", I would take listening to the patient, if  
2 it was impossible.

3 It may be useful to point out that once there were  
4 not any blood tests but that's not quite answering your  
5 question, I understand.

6 Q. I'm going to try and concentrate on medical practice  
7 in the last 30 years, since when, I suggest, there have  
8 been blood tests.

9 Do you accept that?

10 A. I do. I don't accept it's been the optimal time for  
11 medicine. I do accept your ...

12 Q. Right. Given that it's not a choice between listening  
13 to the patient and taking a blood test, do you agree  
14 that where the disease of a gland is suspected, the  
15 basic test that should always be performed would be  
16 a blood test?

17 A. Yes, I do --

18 Q. Right.

19 A. -- sort of.

20 Q. Sort of?

21 A. Well, I think it's giving a funny impression to the  
22 Panel. I always do it, so -- well, that's a yes.

23 Q. You wouldn't dream of starting medication for a diabetic  
24 without knowing what their blood test revealed, would  
25 you?

1 A. If a blood test wasn't available I might, but if a blood  
2 test was available I would certainly base the results of  
3 that.

4 Q. Sorry, I just want to understand that. Are you saying  
5 that you would not get a blood test at the beginning of  
6 your treatment of a diabetic?

7 A. I didn't say that.

8 Q. You said if one was available. What if it isn't  
9 available?

10 A. If there was no blood test available, let's say prior to  
11 a blood test you would take a decision based on the  
12 clinical features.

13 Q. Let's look at your book to see if we can glean what your  
14 view is about the importance of blood tests and where  
15 they have a place in your scientific principles.

16 Can we start, I think, at page 1. You talk about  
17 the importance of clinical observation in medical  
18 practice. You say:

19 "The latter seems to be an outmoded concept replaced  
20 by 'evidence-based medicine' which doesn't mean  
21 evidence-based medicine but laboratory-based medicine to  
22 provide protection against possible litigation."

23 Is that where you place what you call  
24 laboratory-based medicine? It's really a safeguard  
25 against the lawyers?

1 A. Yes, I think an element of that is true. Not entirely  
2 true of course, because I don't actually say that in the  
3 sentence. I say:

4 '...evidence-based medicine' which does not mean  
5 evidence-based medicine but laboratory-based  
6 medicine ..."

7 I am drawing attention to a feeling abroad, not  
8 abroad but abounding that evidence-based medicine equals  
9 laboratory based medicine. I haven't condemned  
10 laboratory-based medicine.

11 Q. All right. Turn to page 7. I just want to understand  
12 how much you mean of this. The third line down:

13 "As soon as I hear the word professionalism and  
14 ethics I assume it's going to be a load of old cobblers  
15 relating to some kind of fraternal bonding but we all  
16 know that fraternities are refuges for the insecure."

17 I understand readily, Dr Skinner, that you were  
18 trying to make this book interesting and light-hearted.

19 A. Arresting.

20 Q. Sorry?

21 A. Arresting.

22 Q. Arresting?

23 A. Yes.

24 Q. Did you mean what you said there?

25 A. Which of my --

1 Q. "As soon as I hear the word professionalism and ethics  
2 I assume it's going to be a load of old cobblers";  
3 is that your view?

4 A. Yes, I did really.

5 Q. Thank you.

6 Page 18, please. This is the first line:

7 "The diagnosis of hypothyroidism should be made on  
8 clinical grounds. This is the most important statement  
9 in this book."

10 Of course, when you say "clinical grounds", you mean  
11 the presentation of the patient, do you?

12 A. Yes.

13 Q. Do you believe what you wrote in your book?

14 A. Yes.

15 Q. Could we go, please, to page 92. You deal, in fact,  
16 with diabetes there:

17 "The most common presentation of diabetes in my  
18 practice, perhaps I shouldn't admit this, occurs in  
19 patients who have clinical hypothyroid features and even  
20 have thyroid chemistry which would traditionally support  
21 this diagnosis, but for some reason the patient doesn't  
22 improve or improves somewhat but still feels unwell."

23 Then at the bottom of the page there are various  
24 laboratory tests, and you deal with the fasting glucose  
25 level or a measure at different intervals after

1 ingestion of 75 grammes of glucose:

2 "... or, of course, a formal glucose tolerance test  
3 are like so much else in medicine, namely helpful if the  
4 results are abnormal but still leave a wee quandary [I  
5 can't do justice, I'm afraid, to the Scottish accent] if  
6 the results are normal. I do realise, dear colleague,  
7 that you have concluded that I have finally taken leave  
8 of my senses and am pursuing a mindless crusade to  
9 vilify and cast doubt on the evidential weight of any  
10 and every laboratory test; not quite but something like  
11 that."

12 Again, does that reflect your view?

13 A. Yes. This is within the context of saying something  
14 slightly arresting in a book to draw attention, which  
15 seems to have indeed succeeded.

16 Q. Page 144. I'm just concentrating on chemistry for the  
17 moment. Halfway down:

18 "A thorny question concerns the frequency of thyroid  
19 chemistry or which thyroid chemistry or indeed the  
20 necessity for thyroid chemistry at all. In my  
21 experience, there is little relationship between the  
22 wellbeing of a patient and Thyroid Hormone levels,  
23 particularly the TSH levels which are more or less  
24 a waste of time in a patient under treat."

25 Let's just split that up because there are,

1 of course, different considerations. But are you there  
2 intending to question the necessity for thyroid  
3 chemistry at all?

4 A. No.

5 Q. "In my experience there is little relationship between  
6 the wellbeing of a patient and thyroid hormone levels."

7 Then you go on to particularly where the patient is  
8 under treatment. But that first line, "little  
9 relationship between the wellbeing of the patient and  
10 thyroid hormone levels", is that what you have found?

11 A. Patient B illustrates that.

12 Q. We will look, I promise you, at Patient B in some  
13 detail.

14 Page 145:

15 "TSH readings are a bind in that any patient on  
16 substantive thyroid replacement will finish up with  
17 a TSH under 0.5 or even 0 and unless somebody knows  
18 something I don't know or there is evidence I've never  
19 seen, this is inevitable and doesn't matter a hoot."

20 Again, does that reflect your view?

21 A. Yes.

22 Q. Just to remind you, or remind the Panel of your evidence  
23 yesterday, "There is no level of TSH that I would not be  
24 comfortable with."

25 Do you stand by that?

1 A. Yes, we're not talking massively high levels, of course,  
2 but I'm not concerned with a TSH level of 0. I do stand  
3 by that.

4 Q. Page 146. This is the last reference on this issue:  
5 "I'm convinced that if you take a decent clinical  
6 history and conduct a proper examination, one could  
7 manage thyroid replacement without a single blood test  
8 and that's more or less what we did when I was a medical  
9 student in the first half of the sixties decade."  
10 Let's concentrate on the latter half of the last  
11 decade and this decade.  
12 "One could manage thyroid replacement without  
13 a single blood test."  
14 Is that your approach?

15 A. I don't think I say there it's my approach, I say --  
16 Q. Is that your belief?  
17 A. That's slightly different. I said it is my belief that  
18 you could manage it if blood tests were banned by Royal  
19 decree, I would be reasonably confident I could manage  
20 the patients properly.

21 Q. Well, blood tests aren't banned by Royal decree. Some  
22 might think that they're a requirement. You do not, do  
23 you?  
24 A. I don't think I said that. I indicated yesterday that  
25 I said there are occasions when blood tests are quite

1           useful.

2    Q.   Are quite useful?

3    A.   Can be useful.  You will have noted I take blood tests

4           on my patients extensively.

5    Q.   We'll look at that.

6    A.   All right.

7    Q.   Would you agree with this, that there is not a single

8           piece of scientific research that supports the view that

9           you can treat a potentially diseased thyroid without

10          knowing the TSH level?

11   A.   No-one is going to embark on such a piece of scientific

12          research so the answer is almost axiomatically no.

13          If I'm allowed to stray out of your caveat a little bit,

14          this is indeed what happened when there weren't any

15          blood tests.  I'm not aware that patients were

16          treated -- fared any worse.

17   Q.   That's the sort of general sweeping statement that you

18          sometimes make.

19   A.   Your question was enormously general and sweeping.

20   Q.   Are you saying that the treatment of thyroid disease

21          simply hasn't improved since the 1940s?

22   A.   I know of no evidence, to take the same kind of

23          approach, that it has.  I would personally have

24          preferred to have been treated many years ago when

25          cognisance was taken of my clinical state rather than my

1 TSH level.

2 Q. Do you agree with this, that once medication has  
3 started, you would normally need to find out how the  
4 thyroid has reacted to your drugs with reasonable  
5 regularity?

6 A. Absolutely crucial. That's why you would listen to the  
7 patient.

8 Q. And testing their blood?

9 A. Yes, I do that.

10 Q. Crucial or not?

11 A. Not crucial. Again, we're in the world where, if  
12 I could make a choice, I would listen to the patient  
13 rather than have her blood thyroid chemistry.

14 Q. You don't have a make a choice, Dr Skinner. You can do  
15 both. Would you do both?

16 A. I do do both.

17 Q. Is the true position, just having reviewed what you've  
18 said in your book and what you said in evidence  
19 yesterday, that your real belief is that you can treat  
20 a hypothyroid patient or apparently hypothyroid patient  
21 without bothering with the chemistry provided that the  
22 history is right and the patient looks hypothyroid?

23 A. The question you asked was: you could. I think you  
24 could and, indeed, has been done many a time.

25 Q. It's also fair, isn't it, to suggest to you that you

1 believe fairly passionately in the power of thyroxine?

2 A. Passionately?

3 Q. Strongly.

4 A. Strongly? Passionately is strange. I think it is an  
5 excellent medication and can return your patients to  
6 optimal health, which is extremely satisfying.

7 Q. You see, in your book you talk about the possibility of  
8 slipping thyroxine to a needy patient without their  
9 knowledge.

10 A. Can I have the page number?

11 Q. Certainly. Page 175.

12 Right at the top of the page. You start:

13 "The sad conclusion is that certain patients prefer  
14 to continue in their appalling but safe [hibernation] of  
15 ill health" --

16 A. Hibernian.

17 Q. -- "than take curative medicines."

18 A. Hibernian.

19 Q. Hibernian, thank you.

20 "It's very frustrating and if all forms of  
21 persuasion fail, the only choice would be to slip them  
22 the medication which will get you in grief with the  
23 General Medical Council" --

24 A. Too right.

25 Q. -- "but would work in most cases. The patient may not

1 know she's been slipped the medication and assume she's  
2 getting better from some other remote source which can  
3 range from the spiritual to quite commonly an obscure  
4 change in diet."

5 Now, first of all, do you think that is right that  
6 it would be appropriate to take that course?

7 A. I'm sure, Mr Kark, you know I'm not seriously suggesting  
8 that. I think there is a conditional clause: "if".

9 Q. Can we look at the last paragraph:

10 "However, I can think of four patients in my  
11 practice who continue to be unwell and I would bet my  
12 boots that they're hypothyroid but there is no way to  
13 solve the problem. It's really frustrating and I'm not  
14 absolutely convinced, notwithstanding previous comments  
15 vis-a-vis the problem of keeping your registration, that  
16 it's not worth introducing the thyroid replacement under  
17 some rather confused guise or even mixed in with one of  
18 these alternative or homoeopathic thyroid preparations  
19 like kelp or seaweed or something but it depends on the  
20 patient and her individual psychology accompanied by the  
21 fervent hope that once she improves psychologically then  
22 all be well and one can even risk confessing the wee  
23 duplicity. But it's no game for sissies. This is the  
24 true meaning of professionalism."

25 Have you ever considered, given your belief in the

1 power of thyroxine, slipping it to your patients?

2 A. I'm sure you know the answer is no to that question.

3 Q. It would have to be, wouldn't it, because it would be

4 a criminal offence to do so?

5 A. I have no idea what the level of offence would be, but

6 the answer is no. Unequivocally no. You didn't

7 actually complete the sentence you were reading.

8 I don't know if I'm permitted to do so?

9 Q. Please do.

10 A. "This is the true meaning of professionalism, which is

11 doing the best for your patients."

12 Q. Yes, thank you.

13 A. Thank you.

14 Q. Can we turn to the issue of differential diagnosis. You

15 accept, I expect, that it is always important to

16 consider the differential diagnosis?

17 A. Part and parcel of being a doctor.

18 Q. You cannot do that, can you, if you have a fixed idea in

19 your mind as to the cause of the problem before the

20 patient walks through the door?

21 A. I say that in my book.

22 Q. Let's see what you say about it in your book. Page 21,

23 first of all. The paragraph two thirds of the way down

24 the page:

25 "As always in medicine, it is necessary to be alert

1 for any diagnosis, irrespective of the nature of the  
2 referral from a family practitioner, consultant or  
3 specialist colleague."

4 Page 61, this is where I think you've been dealing  
5 above with paraesthesia. The new paragraph on that  
6 page:

7 "Other differential diagnosis in the nature of other  
8 general neurological upset and/or megaloblastic anaemia  
9 may require exclusion."

10 Page 85, right at the bottom of the page, last three  
11 lines:

12 "It is important to never initiate the consultation  
13 with a rigid preconceived notion of the diagnosis and to  
14 remember that hypothyroidism can appear somewhat like  
15 syphilis and diabetes in different guises."

16 On further down that page:

17 "A number of differential diagnoses can also be  
18 complications of hypothyroidism which can mask the  
19 diagnosis or make symptoms more severe for example  
20 fatigue associated with vitamin B12 anaemia or  
21 hypoadrenalism or thirst and weight gain of mature onset  
22 diabetes. Other differential diagnoses have no  
23 recognised association with hypothyroidism, for example  
24 fatigue in patients with tuberculosis or haematological  
25 malignancy."

1           You say:

2           "I have always dreaded the young adult patient might  
3           have leukaemia or other malignancy but it has never  
4           occurred in my practice."

5           Finally, I think, page 185, in fact, we are dealing  
6           there with a patient who had or might have had ovarian  
7           carcinoma. You say five lines up:

8           "This patient emphasises a critically important  
9           principle that a patient who has rather non-specific  
10          single symptoms of not feeling very well or gone off  
11          food or is kind of tired all the time, requires serious  
12          attention with particular awareness of possible  
13          malignancy and so obviously that would be a possible  
14          diagnosis."

15          Can we then go to tab 4 of file 2. This is where  
16          you set out --

17    A. Page number please?

18    Q. Hold on, I'm going to give you a couple of references.  
19          Just to remind the Panel and yourself what the purpose  
20          of this document was, page 11 begins:

21          "The purpose of the portfolio is to give the  
22          assessment team a clear picture of the following: your  
23          qualifications and experience, your workload, the  
24          situation in which you work, your involvement in  
25          clinical governance, your continuing professional

1 development, your membership of any professional groups  
2 and committees and your assessment of your own  
3 capabilities."

4 Then if we turn to page 16, the second entry down:

5 "Medical director 1998, three/four days a week.  
6 Brief description of the work involved and motivation  
7 for undertaking the post: management and diagnosis of  
8 thyroid problems restoration of health in a seriously  
9 neglected group of patients."

10 Page 31, I'm just going to go through these quite  
11 quickly. The description of your work is given as  
12 thyroid disease treatment. Then can we go, please, to  
13 page 67. We can see here that you are asked to give  
14 your familiarity rating in relation to managing the  
15 problems that follow and you're asked to give a scoring,  
16 1 being very rarely and 5 being very frequently.

17 There you have scored -- and I'm not going through  
18 each one of them them -- under psychological problems,  
19 neuroses you have scored 1, meaning very rarely.  
20 Depression, you scored at 3, meaning fairly often,  
21 because depression is quite often a differential  
22 diagnosis to hypothyroidism, in other words the symptoms  
23 will often be very similar, won't they?

24 A. You can certainly get an overlap.

25 Q. Over the page, page 68, please. Almost everything

1           there is very rarely, but can we go down to  
2           thyrotoxicosis. You've marked that as 2, meaning  
3           rarely.

4           Is that because you don't accept, just using this  
5           case by way of example, that a patient with a T4 level  
6           above the reference range and a TSH level which is  
7           negligible, you don't accept that that patient is  
8           thyrotoxic? Is that the basis of that?

9    A. The basis of that is that that's what the referral  
10       letter says from the family practitioner.

11   Q. Well --

12   A. So I took this particular document to ask --

13   Q. "Please indicate how frequently you find yourself  
14       managing the following problems in your work in general  
15       practice by putting a ring around the appropriate  
16       number."

17           So what you're being asked to do is say how often  
18       you have to manage the following problems. In relation  
19       to the thyrotoxicosis you said:

20           "Rarely."

21   A. That is correct. About five, six patients a year,  
22       I would say. It's increasing.

23   Q. Are we seeing three of them?

24   A. Sorry?

25   Q. Do we have three of them here?

1 A. No, I don't think we've seen any of these patients at  
2 all.

3 Q. That's what I was saying. You don't accept that any of  
4 these patients are thyrotoxic, do you?

5 A. Oh absolutely not, none whatsoever.

6 Q. Hypothyroidism you have, of course, marked 5, very  
7 frequently.

8 Page 74, please. Halfway down the page:

9 "Sometimes various factors can make it difficult for  
10 us to reach these standards, that is the standards of  
11 good medical practice. Please comment here on any  
12 factors about you or your practice that make it  
13 difficult for you to reach these standards.

14 "A number of colleagues in this field essentially  
15 disagree with my view that hypothyroidism should be  
16 diagnosed primarily on clinical evidence while taking  
17 due cognisance of thyroid chemistry. It is regrettable  
18 that a small proportion of colleagues inexplicably  
19 decline discussion on the issue. Indeed none of the  
20 complainants would attend a working seminar which  
21 I organised to investigate these issues."

22 You recognise, of course, that a number of  
23 colleagues, as you put it, disagree with your view,  
24 almost the entire body of endocrinologists disagree with  
25 your view, don't they?

1 A. No.

2 Q. Page 80:

3 "During the last ten years my clinical work has been  
4 virtually exclusively in hypothyroidism and during the  
5 last four years exclusively by referral from family  
6 practitioner or specialist colleagues. This is  
7 an important issue indicating a significant measure of  
8 validation consequent on continuing referral from  
9 colleagues and from patients directly or indirectly via  
10 these colleagues."

11 What that means is: because you have patients  
12 walking through your door, that is a measure of  
13 validation. Is that what you're saying?

14 A. No.

15 Q. What are you saying?

16 A. I don't quite understand, with respect, what walking  
17 through the door means. That says what it says. That,  
18 as a practice, depends exclusively, especially in the  
19 last -- but always it did, on family practitioner  
20 referrals or from patients. I'm trying to indicate to  
21 the Commission of Healthcare, who we prepared this work  
22 for, I'm trying to sort of indicate that sometimes the  
23 patients will go to the family practitioner and ask for  
24 a referral.

25 I'm not trying to confuse them into thinking that

1 necessarily the family practitioner spontaneously refers  
2 them, but there's no one coming in directly through the  
3 door. I hope that's very clear.

4 Q. You see, you said yesterday that none of the GPs here or  
5 any one of the GPs here didn't want their patient to  
6 come and see you. There was only one case that we've  
7 got where there was a referral: patient A, one case?

8 A. Yes, there was one case that was referred, one case the  
9 GP knew the patient was coming, yes.

10 Q. But the rest all tried to stop you, didn't they,  
11 eventually?

12 A. No. I think the first -- the last case, Patient D, it  
13 was perfectly clear that the family practitioner didn't  
14 want me to see the case. Neither of the other two  
15 practitioners ever, ever made any contact with me so  
16 I would have to have been telepathic to know that.

17 Q. You say this:

18 "Every patient is jointly managed within the  
19 framework of a referral letter from an appropriate  
20 colleague who then receives a return letter from each  
21 consultation with discussion by telephone if required on  
22 any particular problems which may arise with any  
23 individual patient. This ensures that one is not  
24 working in splendid or unsplendid isolation making  
25 unilateral eccentric decisions and every decision is at

1           least viewed by a colleague or is the subject of an  
2           interactive discussion between that colleague and  
3           myself."

4           Dr Skinner, again we're going to look through the  
5           individual patients and go through the detail. But you  
6           were receiving letters, weren't you, from a general  
7           practice that were asking you to stop. You were aware  
8           that you had complaints against you, for instance from  
9           Liz Jordan. You were aware that there was considerable  
10          concern about your practice but you don't actually take  
11          any note of it, do you?

12        A. I don't agree with your proposition which you made  
13          in the first place.

14        Q. What, that there was considerable concern among your  
15          colleagues about your practice?

16        A. We looked at -- Mr Gribble advised, we looked at four  
17          cases. I see many, many patients and it is actually  
18          staggeringly opposite. One family practitioner in my  
19          medical career has asked if I would stop seeing  
20          a patient. That's only one. And indeed I sought advice  
21          from the General Medical Council on that issue.

22        Q. Let's put the portfolio aside.

23                The thyroid, as we have begun to understand, is  
24                a gland which secretes those hormones, T4 and T3. If  
25                you take a blood test that does not support your

1 preliminary diagnosis of hypothyroidism and you rely  
2 instead on clinical features, what are you purporting to  
3 treat?

4 A. Hypothyroidism.

5 Q. As a result of what?

6 A. As a result of the effect of the thyroid hormones on the  
7 tissues of the body, which gives rise to the syndrome of  
8 hypothyroidism.

9 Q. Would you treat a patient for hypothyroidism if you  
10 didn't suspect that there was disease of the thyroid?

11 A. Of course not.

12 Q. So in each of these cases you have suspected, have you,  
13 that there was a disease of the thyroid?

14 A. Of course.

15 Q. What's the most common disease of the thyroid?

16 A. I would have thought it was hypothyroidism.

17 Q. Well, that's not actually a disease, is it? What's the  
18 disease of the thyroid, what's causing the  
19 hypothyroidism, normally, if it's diseased?

20 A. There's many, many postulated causes.

21 Q. One of the most common, I'm going to suggest, is  
22 Hashimotos, isn't it?

23 A. I have seen that suggested myself. In my own experience  
24 I have -- it may, of course, be a form of Hashimotos,  
25 but the most striking correlate, over the years I have

1 noticed, extremely so, is any patient who has adult  
2 chicken pox -- I do refer to that in the book -- or a  
3 history of glandular fever, that doesn't of course  
4 exclude Hashimotos aetiology inasmuch as the damage to  
5 the thyroid gland from these viruses might release  
6 antigens which could stimulate antibodies to them.

7 Q. How often do you conduct autoimmune tests?

8 A. Not very often. In fact, I don't. I haven't found them  
9 to be of much value in the treatment of the patient.

10 Q. How are you going to discover if the patient is  
11 suffering from Hashimotos if you don't conduct an  
12 autoimmune check?

13 A. I'm not going to know.

14 Q. Does it not matter?

15 A. I do not think it's central to the treatment of the  
16 patient. As I say in my book, which you read very  
17 diligently, thank you, I've seen perfectly healthy  
18 patients with no antibodies. I've seen patients with  
19 high antibody levels -- sorry, healthy patients with  
20 high antibody levels, patients with no antibodies who  
21 had severe thyroid disease. So I'm a bit concerned  
22 about the relationship to management in relation to  
23 finding Hashimotos.

24 Q. If you don't do those checks you don't know the cause of  
25 the hypothyroidism, do you? You don't know what's

1           happening in the body?

2    A.   Yes, I think you do.

3    Q.   Do you agree?

4    A.   No, I don't agree with that.

5    Q.   How do you, unless you do a blood test, know what's

6           causing the hypothyroidism? You know you've got

7           a hypothyroidic patient, you would say, but how do you

8           know what's causing it?

9    A.   We come back again and again and again to Sir William

10           Osler's statement: listen to the patient. The patient

11           may tell you she has had her thyroid taken out. I've

12           seen a number of patients where no-one else seemed to

13           discover that. That is one possibility. As

14           I mentioned, glandular fever. If you're saying do we

15           know the complex processes involved, I don't think we

16           know these in most diseases actually.

17   Q.   Is there a danger, do you think, if you don't do the

18           appropriate blood tests, that you are treating a thyroid

19           gland that does not, in fact, need treating because

20           there is nothing wrong with it?

21   A.   I wouldn't say that's a danger anyway. You're treating

22           the effect of that hormone on the body's entire

23           structure.

24   Q.   The dangers, I'm going to suggest, are these: first, you

25           may be missing the true cause of a patient's problem.

1 Do you accept that is a danger?

2 A. It's a possibility if you have the wrong diagnosis.

3 Q. You run the risk of making the patient hyperthyroid,  
4 causing possibly tachycardia and osteoporosis? Do you  
5 agree with that? You run the risk?

6 A. There is a finite risk in anything. The answer in  
7 general terms is no, unless you abandon the patient and  
8 the patient cannot tell you anything about herself.  
9 I mean, there is a risk in living in the things you're  
10 referring to. While the answer would be arithmetically  
11 yes, I feel it would slightly confuse the Panel to just  
12 say: yes, yes.

13 Q. Do you warn your patients when they have become what  
14 others would think of as thyrotoxic, do you warn your  
15 patients about the dangers that might be involved with  
16 that?

17 A. Others, you mean if their biochemistry --

18 Q. Yes.

19 A. I always -- in fact, often the patients do anyway --  
20 draw it to their attention.

21 Q. What do you draw to their attention?

22 A. That let us say Patient B had an FT4 of 39, we would  
23 discuss that and you would crucially take the patient's  
24 history, examine the patient, and tell the patient that  
25 your thyroid chemistry is abnormal, but if there is no

1 other reason, there's evidence of thyrotoxicity, you  
2 would then discuss it on that basis.

3 Q. Do you warn the patients that some think that there is  
4 a danger that you will develop in later life  
5 osteoporosis.

6 A. Yes, I usually discuss that.

7 Q. You do?

8 A. Yes. Patients will almost always bring that up  
9 themselves.

10 Q. What do you say about it?

11 A. To the patient?

12 Q. Yes.

13 A. I would say to the patient, as I would say to the Panel,  
14 that the evidence first of all is very, very insecure  
15 and there is no evidence in the kind of patient that  
16 we have before us in this study -- it was emphasised to  
17 me that we're dealing with patients whose clinical  
18 features are present and their chemistry is normal.  
19 I know of no study even investigating that. So the  
20 matter is discussed; I don't put the fear of death into  
21 the patient.

22 Q. You see, you say that the research is very, very  
23 insecure and effectively you told us yesterday that so  
24 far as the danger of osteoporosis is concerned arising  
25 from a severely reduced TSH, quoting Professor Franklyn,

1 I think it was --

2 A. Yes, it was.

3 Q. -- that there was no risk.

4 A. Yes. That's in a different group of patients.

5 Q. You see, again I'm going to suggest, with respect, that

6 that was the sort of sweeping statement you sometimes

7 make. That report to which I think Professor Franklyn

8 contributed, was published in the Lancet in 1992, wasn't

9 it?

10 A. I can't remember. Something of that nature.

11 Q. And it was based on a study of just 49 patients. Do you

12 remember that?

13 A. Yes.

14 Q. What you didn't mention when you gave evidence to the

15 Panel yesterday was a further study, and that was in

16 2001. I'll show it to you, if you want, in a moment.

17 A. May we have the author's name, please?

18 Q. Douglas Bower, Bruce Essinger, Michael Nevitt, Katie

19 Stone, for the study of Osteoporotic Fractures Research

20 Group. And they studied 686 women older than 65 years

21 of age from a cohort of 9,704.

22 Do you know about this?

23 A. I don't think I've seen that paper. Am I allowed to

24 look at it while we discuss it?

25 Q. I afraid I don't have a copy. I will pass it to you as

1 soon as I have put the relevant aspect to you:

2 "After adjustment for age, history of previous  
3 hyperthyroidism, self-rated health, use of oestrogen and  
4 thyroid hormone, women with a low TSH level less than  
5 0.1 had a threefold increased risk for hip fractures and  
6 a fourfold increased risk for vertebral fractures  
7 compared with women who had normal TSH levels. After  
8 adjustment for TSH level a history of hypothyroidism was  
9 associated with a twofold increase in hip fracture but  
10 use of thyroid hormone itself was not associated with  
11 increased risk of hip fracture."

12 In other words it's the TSH that's the problem.

13 "Conclusions. Women older than 65 years of age who  
14 have low serum TSH levels, indicating physiologic  
15 hyperthyroidism, are at an increased risk for new hip  
16 and vertebral fractures. Use of thyroid hormone itself  
17 does not increase risk of a fracture if TSH levels are  
18 normal."

19 You can certainly have a look at that. (Handed)

20 A. I think that really provides substance to what I've been  
21 saying. They say if the patient's been made  
22 hyperthyroid.

23 Q. Yes.

24 A. Well, that would be a wrong thing to do and the patient  
25 shouldn't be made hyperthyroid.

1 Q. How do you define hyperthyroidism?

2 A. Through the standard textbook description of someone  
3 who's thyrotoxic. Not through -- I don't know how they  
4 defined it, but ... so they're actually saying there  
5 that a group of patients who have pathological, it seems  
6 from what you say -- and I'm not quite sure what risk of  
7 fracture they said there was anyway.

8 Q. You have the article now.

9 A. The absolute risk, not a ratio. Ratios are treacherous  
10 things.

11 Q. When you come out with something as you did yesterday to  
12 this Panel, expecting it to be believed, did you not  
13 think it was right to say, "Well, there is much more  
14 recent research that gives a very different conclusion"?

15 A. I wasn't asked that question. I was asked to give an  
16 opinion on something.

17 Q. I see.

18 A. But I do emphasise again that the patients here are not  
19 the patients which we are dealing with in this hearing.

20 Q. No, but, Dr Skinner, you have given thyroxine to four  
21 patients, right? In relation to one patient, Patient A,  
22 she moved away from your care and she was treated with  
23 thyroxine, I entirely accept. But when she was being  
24 treated with thyroxine by other doctors they kept her  
25 TSH levels within the reference range. You understand

1           that, don't you?

2    A.   I understand it.  I received no communication on it.

3           Did they?

4    Q.   That's the evidence that we have heard.

5    A.   From the family practitioner or what?

6    Q.   If I'm wrong about that, the Panel will no doubt note

7           it.  But I am going to suggest that Patient A was kept

8           within the TSH reference levels.

9           Now, three other patients who stayed with you, B, C

10          and D, all ended up, I'm going to suggest, at some stage

11          or another with a negligible TSH level.

12          Do you agree with that?  You may not think it's

13          important.

14   A.   No, I understand the question very well.  While they

15          were under my care?

16   Q.   Yes.

17   A.   Yes, is the answer to that.  I'm trying to think.  B has

18          a 0.  C had ... and D, I think, had a low level.  I'm

19          prepared to accept that issue.  I'm prepared to accept

20          that.

21   Q.   Patient B ended up with less than 0.1 and a T4 of 39.

22          Patient C, less than 0.1 and a T4 of 25.5.  Actually we

23          don't know -- I've put it wrong because Patient D we

24          don't know about because you didn't do a blood test.

25          But we'll come back to that.

1 A. I think I did blood tests on D.

2 Q. If I'm wrong about that, obviously I will withdraw that  
3 comment.

4 Those two patients who had a TSH of less than 0.1,  
5 you don't regard them as being hypothyroid, is that  
6 right?

7 A. Absolutely correct.

8 Q. But even if you don't regard them as being hypothyroid,  
9 do you not accept, given the basis of the research, that  
10 there is a risk -- a risk -- in those patients of  
11 osteoporosis?

12 A. I don't know of any good evidence in the patients we are  
13 talking about or the patients in this paper that --  
14 I mean, there is a conflict in the literature. I didn't  
15 pretend for a minute there were not some authors who  
16 have argued this. But I think the evidence is shown to  
17 be most insecure.

18 Q. But Dr Skinner, you've been practising in this area of  
19 medicine for, what, eight years?

20 A. Ten.

21 Q. Ten. We heard from Professor Weetman, I think he has  
22 been doing for what he does for certainly over 25 years,  
23 probably longer, and we have heard from Mr Lynn. The  
24 answer is: if you don't know, tread cautiously. Isn't  
25 that right?

1 A. Of course it's right, but you've also got to balance it  
2 against the appalling risk of ignoring the diagnosis.

3 Q. Let us look at the diagnosis and turn to Patient A.  
4 You will need file 1.

5 Dr Skinner, I should have said this at the  
6 beginning. If you do want a break at any time don't be  
7 embarrassed to ask.

8 A. I'm grateful.

9 Q. Patient A, in July of 2001 and May of 2002, had TSH  
10 levels of 1.49 and 1.45. If you want I can give you the  
11 references for those.

12 A. The page number would be very helpful.

13 Q. 144 for the first because, in fact, we've got them  
14 in the reference letter that you were sent.

15 THE CHAIRMAN: Mr Kark, just to be clear, where are we, what  
16 tab?

17 MR KARK: File 1, tab 1.

18 THE CHAIRMAN: And the page?

19 MR KARK: Page 44.

20 I hope I'm now going to stay with this file.

21 So we know this lady's TSH levels, if one accepts  
22 this, I don't suppose there is any reason not to.  
23 In July of 2001 she had a TSH of 1.49 and on 13th May,  
24 her TSH level was 1.45; yes?

25 A. Yes.

1 Q. You received a number of documents in fact. Let's just  
2 have a look at those to see what her complaints had  
3 been. Tab 2, page 2. This isn't dated, but I think  
4 it's in fact 13th November 2001. I'm afraid I can't  
5 tell you now why I think that, but I have checked it and  
6 I think it is 13th November 2001.

7 She was describing there -- this was a letter...

8 MR JENKINS: Page 3, tab 1, medical report received from  
9 Hughes.

10 MR KARK: Thank you. This lady was complaining of a pain in  
11 the left side of her face, numbness in her cheek. She  
12 had vision problems, she had loss of vision in one or  
13 other eye during her pregnancy, a clicking jaw, she had  
14 been treated with Prozac for depression. Then, page 3,  
15 this is from Dr Smith, consultant physician, and  
16 nephrologist, she feels tired all the time, she had  
17 glandular fever and he thought she had a chronic low  
18 grade viral infection.

19 Over the page, again reference to her feeling tired  
20 all the time. Then, page 5, fatigue, depression,  
21 abdominal pain. At the bottom:

22 "On examination she looked well and was clinically  
23 euthyroid. Her abdomen was soft and a little tender."

24 Page 8, she had abdominal discomfort. Then you get  
25 your reference letter from Dr Cooke. Can we just go to

1 page 10, please. The very last line:

2 "Patient wonders if it could be e.g. autoimmune  
3 thyroiditis. Has had chronic Epstein-Barr viral  
4 infection. Ask opinion of Dr Skinner who has an  
5 interest in this."

6 So that was the patient's concern that the cause of  
7 her problem was autoimmune thyroiditis. We examined  
8 this earlier.

9 How would we discover if this lady did, in fact,  
10 have autoimmune thyroiditis? What's the basic  
11 laboratory test you would do?

12 A. You would take a blood test.

13 Q. Did you?

14 A. I didn't ask the laboratory to examine that, no.

15 Q. Why not?

16 A. The reasons I have given heretofore.

17 Q. Well, do it again, please.

18 A. Okay. I don't think that it's got any immediate  
19 treatment relevance. That's been my experience over the  
20 years. Let's say we did find some antibodies,  
21 (inaudible word) or something, I don't think it would  
22 alter the management at all. The argument, I suppose,  
23 would be that if believed the patient wasn't hypothyroid  
24 and you found an antibody level in that instance you  
25 might think: she might become it later on, but even then

1 I'm not sure that the endocrinological consensus would  
2 agree or believe that the thing is to institute  
3 treatment.

4 So even if you did it -- I'm always reluctant to do  
5 blood tests if there isn't actually a diagnostic or  
6 a therapeutic advantage to it. So that would be why ...  
7 I rarely do these tests. Really only if a patient asks  
8 for them sometimes. Especially if I believe the  
9 diagnosis to be secure, which I did, and was latter  
10 proved.

11 Q. It was proved, was it?

12 A. Yes, it was.

13 Q. I see. During your consultation you also made a note  
14 that you thought she might have B12 deficiency.

15 A. I can't find that in my notes.

16 Q. It might be in the letter.

17 MR JENKINS: Page 15, the letter.

18 MR KARK: Yes. Dr Cooke, 17th January, your last few lines  
19 of the first paragraph:

20 "I think it is really quite likely that she is  
21 hypothyroid and perhaps B12 deficient."

22 Now, if a patient is, in fact, suffering from  
23 autoimmune thyroiditis, what should that signal to you?  
24 Might she have other autoimmune problems?

25 A. I'm not quite sure what you're getting at, sorry. How

1 do you mean, what should it signal?

2 Q. If you had discovered that she did have raised  
3 antibodies in relation to her thyroid, would that have  
4 caused you any concerns about any other part of her  
5 body?

6 A. I think what you are saying -- I'm not trying to second  
7 guess you -- is: would this have meant she might have  
8 other diseases, which the presence of thyroid antibodies  
9 were flagging up?

10 Q. Might you have wanted to check her cortisol level?

11 A. If we had found thyroid antibodies?

12 Q. Yes?

13 A. I think that would not be the usual practice at all from  
14 finding thyroid antibodies, unless again and again there  
15 is evidence from other matters.

16 Q. Such as?

17 A. Unless there was clinical evidence that the patient  
18 might have some other disease of some other system, in  
19 which case you would flag it up to the family  
20 practitioner.

21 Q. Your concerns about B12 deficiency, those would have  
22 been easily resolved, would they not, by a blood test?

23 A. Yes, that would be the first port of call, measure the  
24 full blood count for size of the red blood cells and  
25 a B12 estimation.

1 Q. But you didn't do that. Why not, if that's your first  
2 port of call?

3 A. Because you don't -- if you raise a question with the  
4 family practitioner you don't investigate every matter  
5 you raise with the family practitioner. The Commission  
6 of Healthcare have advised us in that direction.

7 Q. Are you saying that there would, with this patient who  
8 came in with these symptoms and in a patient with whom  
9 you suspect B12 deficiency, there was no point in doing  
10 a blood test?

11 A. No, I'm not saying that.

12 Q. What would have been the point of doing a blood test if  
13 you decided to do one?

14 A. The point would have been if I had unilaterally decided  
15 to investigate this through the private sector to  
16 establish if there was B12 deficiency.

17 Q. Her TSH levels, the ones you actually had dating back  
18 to May 2002, were very well within the reference range,  
19 weren't they? 1.45~--

20 A. Absolutely, both.

21 Q. I mean, really almost bang in the middle.

22 A. Just about the middle, given the normal distribution.  
23 Yes, I would agree with that. They're within the  
24 reference interval, yes.

25 Q. It's within the reference interval, not only the

1           accepted reference interval in the UK but also in the  
2           USA?

3    A.   Absolutely.

4    Q.   Even a narrower version?

5    A.   Yes, that point's gone, right.

6    Q.   Do you agree that really no endocrinologist in this  
7           country would have treated that woman with thyroxine?

8    A.   Well, it's a tough question because I don't know what  
9           every endocrinologist would have done.  But my honest  
10           answer would be in these circumstances, and in the  
11           survey which I might present to you, only 22 per cent of  
12           endocrinologists --

13   Q.   Well --

14   A.   I'm trying to answer your question numerically, Mr Kark.  
15           22 per cent of endocrinologists agreed that they had  
16           treated patients in these circumstances.

17   Q.   Are you going to call one?  Are you going to call an  
18           endocrinologist, Dr Skinner?  Anybody from the UK?

19   A.   I am not conducting the case.  I don't believe we are.  
20           I don't know though.  I'm sure that Mr Jenkins could  
21           provide the relevant information.

22   Q.   I'm sure he will.  Have a look at page 16, please.

23           Now, this blood test -- did you take it?  Did you  
24           take the blood?

25   A.   Did I physically conduct the venesection?

1 Q. Yes.

2 A. Yes.

3 Q. This blood test, which doesn't check for antibodies,  
4 does it, you didn't ask for that test?

5 A. That has been established.

6 Q. You received five days after your consultation. This  
7 demonstrated that her T4 was 12.2 and her TSH was 1.4,  
8 so really her TSH had hardly moved from the level in  
9 2001 and 2002?

10 A. Yes.

11 Q. You started this woman on thyroxine, did you?

12 A. Yes.

13 Q. At what level?

14 A. The dose level?

15 Q. Yes.

16 A. 25 micrograms per day.

17 Q. Well, can we just go back to your note.

18 A. My written notes?

19 Q. We can use your typewritten note, if you want, right at  
20 the beginning of tab 2. All we see there at the bottom,  
21 though I might be missing it, is simply treatment, oral  
22 B12.

23 The note, if you want to have reference to your own  
24 note, it's at page 12, of course. Where do we see the  
25 note of your decided treatment? I don't think you've

1 written it down, have you?

2 A. We see it in tab 2, page 15. The penultimate paragraph.

3 Q. All I was asking you about originally was in your note.

4 A. Do you see it in my written note?

5 Q. Yes.

6 A. About the prescription?

7 Q. Yes.

8 A. No, you don't.

9 Q. All right. Then we know what your prescription was

10 because we have it, I think, at page 14.

11 A. 15.

12 Q. Well, no, if you look at 14 it shows the prescription.

13 A. Sorry.

14 Q. Then page 15. Then we know that the patient complained

15 to yourself and she wrote to you a lengthy letter. Then

16 if we go to page 17 you prescribed her Grain Armour.

17 I understand that you think it is beneficial, but

18 can you just explain, first of all, did you supply that

19 as a result of the telephone conversation that you had?

20 A. Yes.

21 Q. You haven't got, back at page 16, a T3 level, have you?

22 A. No.

23 Q. So your basis of switching to Grain Armour was what?

24 A. Essentially, after a conversation with the patient, she

25 said thyroxine was not agreeing with her, it was giving

1 her a headache. She wanted to take Armour Thyroid.  
2 I indicated yesterday that I don't know de facto  
3 a reason why a patient can't take Armour Thyroid if,  
4 indeed, the patient says she would like to. There is no  
5 medical reason against it.

6 Q. Can we go to page 26, which is your letter to Dr Cooke.

7 "The clinical features of the lowish T4 reading do,  
8 I think, suggest that the lady may be suffering from  
9 hypothyroidism and while her T4 is within the reference,  
10 95 per cent reference range, it is significantly below  
11 the average for healthy patients."

12 Now, just examining that for a moment, the reference  
13 range is a reference range of healthy patients, isn't  
14 it?

15 A. It should be. There has been much discussion about the  
16 inclusions of patients in that. That is correct in  
17 principle.

18 Q. Even if one can be right at the bottom of the scale of  
19 those healthy patients or right at the top of the scale  
20 of those healthy patients, you will still be within the  
21 reference range?

22 A. That's an arithmetic truism.

23 Q. Thank you.

24 This woman had a T4 of 12.2 so she's not got a T4  
25 down at 9 or 9.1 or 10 or 11; she is, I suggest, well

1           within the reference range, isn't she?

2    A.   She's within the reference range.

3    Q.   Right.

4    A.   But in the lower part of it, which I demonstrated,  
5           I hope, yesterday to the Panel.

6    Q.   Her TSH, do you agree, is a more important and accepted  
7           to be a more important established test of what's  
8           actually happening with her thyroid? Do you agree with  
9           that premise or not?

10   A.   I agree with the premise that since it was developed,  
11           most colleagues would put more emphasis on it. It  
12           depends, of course, on the level of each. I would agree  
13           that they would. And indeed some colleagues would swear  
14           without caveat or consideration that it's an absolute  
15           gold standard, if a patient's TSH is normal, the patient  
16           feels well. I agree with you entirely, regrettably.

17   Q.   You don't agree with them, does that mean?

18   A.   I certainly don't agree with it; we have seen it in four  
19           cases already.

20   Q.   That I think was the last communication there was on  
21           your notes in relation to this patient. You say at  
22           page 27 that there was a possibility of a serious --  
23           let's just read it properly. The middle paragraph on  
24           page 27:  
25           "Finally, and it may be tangential but worth just

1 putting to bed for once and for all, I thought  
2 [Patient A] was somewhat sallow as many hypothyroid  
3 patients become B12 deficient. I have suggested  
4 1,000 micrograms of B12 per day on a pragmatic basis.  
5 And, secondly, as her blood pressure is lowish, it would  
6 be worth perhaps taking a blood sample for full blood  
7 count and serum cortisol, just to put the possibility of  
8 serious B12 deficiency or secondary hypoadrenalism to  
9 bed once and for all.

10 "So I think this is the best way forward for this  
11 patient. The outcome of the professional relationship  
12 with her is, of course, very upsetting but I've never to  
13 date had a complaint in 35 years of practice."

14 Can we see how this patient's care, in fact,  
15 continued. If we go back to page 56, tab 1, I entirely  
16 accept that whatever she thought about it,  
17 Professor Franklyn continued this lady on a dose of  
18 thyroxine but she says in that letter on page 57:

19 "I did explain that, given this extremely normal  
20 TSH, one can be confident about ruling out a diagnosis  
21 of hypothyroidism. Since she did experience some  
22 improvement in her bowel symptoms after taking thyroxine  
23 treatment, it is quite understandable she would wish to  
24 pursue it. I explained that there is no specific  
25 indication as driven by her thyroid function test for

1           this to be continued or, indeed, expected to be helpful.  
2           Nonetheless should she choose to continue with the  
3           thyroid treatment my advice would be that she should  
4           revert back to thyroxine, stop dessicated thyroid, and  
5           that steps should be put in place to ensure that she is  
6           not overtreated. The latter recommendation is based on  
7           extensive evidence that overtreatment with thyroid  
8           hormones as indicated by suppression of TSH is  
9           associated with long-term adverse effects, specifically  
10          the development of atrial fibrillation and increased  
11          risk of osteoporosis."

12                 So you asked earlier whether there was evidence that  
13          she was kept within the TSH reference range. That  
14          certainly appears to have been the goal, doesn't it,  
15          from what Professor Franklyn wrote?

16   A.   That's on line something, I can't remember it. I'm  
17          looking. 6.

18   Q.   Steps should be taken to ensure she's not overtreated  
19          and then the reason for not overtreating?

20   A.   Yes, that is Professor Franklyn's view. She works in  
21          Birmingham beside me.

22   Q.   Yes, I was going to ask you about that. Birmingham has  
23          a very large endocrine practice, doesn't it, at the  
24          hospital?

25   A.   Yes.

1 Q. It's very well-known?

2 A. I think it's equally as known as any other unit in the  
3 United Kingdom. I wasn't aware it was particularly  
4 famous.

5 Q. Is it not a world class unit, regarded internationally  
6 as a world class unit, Birmingham?

7 A. I don't know. I have never heard anyone say that.  
8 I can't comment on that.

9 Q. Page 58, we can see that the patient's thyroid test  
10 in April of 2003 -- I'm going concentrate on the TSH --  
11 is then at 0.4, right at the bottom end of the range and  
12 I think that is the last relevant matter, except if you  
13 go back to page 5 we can just run through her TSH tests  
14 thereafter.

15 Remembering that this woman is on thyroxine,  
16 in October 2003 her TSH level has in fact gone down to  
17 0.37. In February of 2004 it's 0.31. In fact there,  
18 unfortunately, we don't have the reference ranges.  
19 In February 2004 it is, yes, February 2004, it is 0.31.  
20 That is at time when she's on thyroxine and her T4  
21 levels are raised.

22 I think we have got one further entry, actually,  
23 in January of last year, 2006. I think her TSH was  
24 0.48. Yes. It's about a third of the way down the  
25 page. So she's back then within the reference range.

1           When you decided to give this woman thyroxine, as  
2           you did, did you consider disease of the pituitary?

3   A.   Yes.

4   Q.   Did you take any steps to establish by blood test  
5           whether that was so or not?

6   A.   No.

7   Q.   Just give me a moment.  I think you accept that you did  
8           not perform any investigation of her B12 but really say  
9           that you pointed it out to the GP and it was up to him?

10  A.   Yes, that's true.

11  Q.   Right.

12  A.   "Up to him" has a slightly unusual connotation.  
13           Normally a family practitioner might telephone you or  
14           write to you and discuss the matter.

15  Q.   You accept that you spoke to Mrs A over the telephone  
16           and she complained of new symptoms.  Did you think that  
17           those new symptoms could have been an adverse effect of  
18           your prescription or not?

19  A.   I wasn't sure.  Many, many patients have headaches.  She  
20           had had headaches before and my judgment from talking on  
21           the telephone was that she wasn't -- she hadn't had an  
22           acute cerebral event.

23  Q.   But the effect of that conversation was you changed her  
24           prescription effectively to Armour Thyroid.

25  A.   Yes.

1 Q. So, presumably, you thought that some of her symptoms  
2 might be related to the prescription that she was  
3 receiving?

4 A. There is a finite possibility, but to judge the extent  
5 of it and decide what you're going to do.

6 MR KARK: I'm going to move on to Patient B and that might  
7 be a convenient moment.

8 THE CHAIRMAN: Thank you. We will reconvene at 11.35.  
9 (11.10 am)

10 (A short break)

11 (11.35 am)

12 MR KARK: Dr Skinner, we were going to move on to Patient B.  
13 She came to see you on 20th March 2003. She had had  
14 a number of problems in the past. We know that she had  
15 had problems with her eyes back in 1995 and there is  
16 a reference to that at tab 3, page 78, the same file  
17 that we've been in, file 1.

18 We see at page 79 that she had a deep hoarse voice,  
19 that's a reaction, apparently to the use of spray polish  
20 and there are a number of references to that. Pledge  
21 and carpet cleaner triggered that.

22 In August of 2002 she had a TSH of 2.3 and T4 of  
23 16.7. If you go to your notes, tab 4, page 2, you will  
24 see the reference to that blood test result,  
25 21st August 2002.

1           You wouldn't suggest, would you, that her T4 was  
2           anything but normal and her TSH was anything but normal,  
3           they were both well within the reference range?  
4   A.   I would, if I may, take issue with that statement.  
5   Q.   Oh.  Which one do you say was outside the reference  
6           range?  
7   A.   I think you said well within the reference range, not  
8           outside, Mr Kark.  That would be the TSH.  I discussed  
9           with the Panel yesterday that -- I understand there is  
10          controversy, but if we took the US suggested top of the  
11          reference interval at 2.5, let's say 0.5 to 2.5, I tried  
12          to argue that 0.6 could hardly be considered the same as  
13          2.4.  This at 2.3 is not well within that reference  
14          interval.  
15   Q.   It's within even the US reference range, isn't it?  
16   A.   I'm accepting it's within, but I'm pointing out it's not  
17          well within.  
18   Q.   Do you take any account of these?  
19   A.   Yes.  
20   Q.   What account do you take?  What note do you take of the  
21          TSH levels?  
22   A.   Most importantly, if it is abnormal, as I've argued for  
23          many years, it will usually be associated with disease,  
24          TSH of 9, let us say.  This is not your question, but  
25          the reciprocal I don't believe to be true.  FT4

1 readings, I find extremely helpful in -- they are not  
2 very common, but the patients who are referred to me  
3 with a history or who have thyrotoxicosis I find that  
4 a very helpful measurement to try and judge where we are  
5 with suppression therapy.

6 Q. I thought you told -- sorry, go on.

7 A. I was only going to give another example, if I may.  
8 I did indicate it can happen, actually I don't know if  
9 it has happened. Yes, it has happened in one patient,  
10 that if a patient starts a prescription, say, while  
11 you're waiting for the FT4 and it comes back hugely  
12 raised, you would think again. One doesn't cavalierly  
13 ignore thyroid chemistry. So yes is the answer to your  
14 question, I do take note.

15 Q. This lady, we know, had had problems with depression  
16 and, going back to page 109, we can see that she had  
17 a Becks score -- page 109, tab 3 -- a Becks score of 22  
18 out of 30 and she was -- I don't know if that's moderate  
19 or severe, somewhere between the two I expect, she was  
20 moderately to severely depressed in February 2003,  
21 wasn't she? Page 109 is the questionnaire.

22 I see the reference range, as it were, for the Becks  
23 depression test. 16 plus is severe. So she was on the  
24 basis of the Becks depression index, she was severely  
25 depressed. That questionnaire was just a month, six

1 weeks, before she came to see you.

2 A. The date is 5th February, so that's correct.

3 Q. If we look at your note, which we have the typed version  
4 of, did you pick up on the depression?

5 A. May I have the page number?

6 Q. The typed note at the beginning of tab 4.

7 A. Now, when you say "pick up", may I ask you to be more  
8 specific on that?

9 Q. Yes. Did you appreciate this woman was severely  
10 depressed?

11 A. I didn't feel she was severely depressed talking to her.  
12 I'm not casting aspersions on the Becks score. You  
13 would be, of course, slightly strange if you felt so  
14 awful and were as happy as Larry. You could be  
15 depressed because you're hypothyroid. I would be.

16 Q. You can indeed. But did you ask her any questions to  
17 ascertain whether she was depressed? Did you ask her if  
18 she had completed a Becks depression inventory recently?

19 A. I knew she had.

20 Q. Did you have that?

21 A. I believe so. I can't absolutely remember.

22 Q. I'm just looking through your notes. What you had  
23 at the time of your first consultation, I think, was,  
24 page 1, you seem to have had the blood test from August  
25 2002 and then there are your notes.

1 A. I can't actually remember if I had the Becks in front of  
2 me at that stage or not.

3 Q. But wouldn't it be in your note if you had it? You  
4 wouldn't have got rid of anything, would you?

5 A. I would hope not.

6 Q. No, and you would have made a note in your notes about  
7 depression, wouldn't you?

8 A. Not necessarily. You talk to the patient and form a new  
9 impression. You don't write down everything that  
10 you have discussed.

11 Q. But you told us earlier, just this morning, that there  
12 was, I think you put it, an overlap between depression  
13 and hypothyroidism?

14 A. Absolutely.

15 Q. If you're looking for a differential diagnosis,  
16 depression is high up the scale, isn't it?

17 A. Only depending on the patient's clinical features. It's  
18 what the patient says. It may not be. Not every  
19 patient is going to be depressed. Most patients will be  
20 fed up with their state of being, and when I talked to  
21 this patient I didn't think depression -- she was fed  
22 up. I didn't think it was the predominant feature,  
23 notwithstanding Beck's depression score.

24 Q. Six weeks earlier she filled in the following remarks:  
25 "I'm blue or sad all the time and can't snap out of

1           it. I feel discouraged about the future. As I look  
2           back on my life I can see a lot of failures. I am  
3           dissatisfied with everything. I feel bad or unworthy  
4           a good part of the time. I'm disgusted with myself."

5           I will not read on.

6   A. It just sounds like Patient B, exactly what she said and  
7           she was unequivocally hypothyroid.

8   Q. In any event, if you did consider it, do you accept that  
9           you did not write down depression as a differential  
10          diagnosis?

11   A. I didn't write down any differential diagnosis.

12   Q. Did you have a differential diagnosis?

13   A. Sorry, in general or with this patient?

14   Q. For this patient.

15   A. Yes, I would consider many differential diagnoses.

16   Q. Help us: what was your differential diagnosis for this  
17          patient if it wasn't hypothyroid?

18   A. I would have said if someone came along and said, "What  
19          else do you think might be wrong with this patient?",  
20          and we are in a confidential situation, of course.

21          I realise there are patients here. Her general  
22          difficulty in coping with life, I wouldn't ... she was  
23          a lady who was constantly in dispute with decorators and  
24          so on, and this kind of thing.

25          She was very overweight. I think she was -- my

1 second diagnosis would be that she was just finding life  
2 a bit of a struggle. I was very convinced and remain  
3 convinced, I saw her quite recently, that she is  
4 hypothyroid. So you can consider differential  
5 diagnoses, but if you have reasonable surety I don't  
6 think you need to write down a load of stuff about it.

7 Q. You accept that the TSH does not support that diagnosis,  
8 or don't you?

9 A. I don't accept that at all.

10 Q. What did you actually prescribe to her?

11 A. Thyroxine.

12 Q. How much?

13 A. I always start patients on the regime I've heretofore  
14 described, which is 25 micrograms for a week, 50 for  
15 three weeks, 75 for three weeks and then 100 for perhaps  
16 three, perhaps more. It depends really when the  
17 patient's coming back to see me.

18 Q. Again, I don't think we see a note of that in your  
19 consultation record. I don't think in this case we have  
20 a prescription.

21 A. A copy of the prescription?

22 Q. A copy of the prescription.

23 A. No, I see that and I don't quite understand. I make no  
24 bones about that.

25 Q. We can go to the letter you wrote to her GP and this is

1           one of the doghouse --

2    A.   The doghouse --

3    Q.   -- letters.

4    THE CHAIRMAN:  Can you give us the page number, please?

5    MR KARK:  Page 6 of tab 4.

6           How often have you found you're having to write the

7           doghouse letter?  Is it just these we're looking at?

8    A.   In my clinical practice?

9    Q.   Yes.

10   A.   Never, since clear guidance from this body that I should

11           never see a patient without referral.  Prior to that,

12           I would say about eight times.

13   Q.   Eight times in total?

14   A.   Yes.

15   Q.   Out of how many patients that you saw in 2002, 2003?

16   A.   I haven't counted that up, but usually it would be about

17           a thousand consultations in a year.  So new patients

18           maybe about a hundred, something like.

19   Q.   It's pretty unlucky, isn't it, that we've got I think

20           two doghouse letters in this case out of four patients?

21   A.   No, I don't think it's unlucky, I think we're turning

22           the cause and effect round.  In the regrettable

23           situation which arose with two of the patients, the

24           family practitioner is understandably cross that this

25           has happened, so I don't think it's -- I think there are

1 other factors apart from random chance here.

2 Q. Well, I don't understand that because you've written the  
3 doghouse letter before you know that the practitioner is  
4 cross. The fact that there is ultimately a complaint  
5 in relation to that patient is neither here nor there.

6 We have two cases here. I think it's just two,  
7 where you've written the doghouse letter out of the four  
8 patients that we're considering. Those would be two out  
9 of the eight patients, would it, in the whole two years?

10 A. Yes.

11 Q. I see. Let's have a look at the letter that you wrote.  
12 Page 6. You write this:

13 "Patient B is one of those difficult situations  
14 where she seems almost classically hypothyroid."

15 Now, could you just help us please: which classic  
16 test were you basing that remark upon?

17 A. The next sentence describes the plural. It says: number  
18 of typical features. As you have and as  
19 Professor Weetman have emphasised, one feature, for  
20 example fatigue, could be a myriad of -- could describe  
21 a myriad of illnesses. It's the combination of these  
22 features which would give rise to the classicism of the  
23 matter.

24 Q. She had choking fits during the night.

25 A. Excuse me, I'm not correcting, I think it's choking

1 fits.

2 Q. That's what I just said.

3 A. I thought you said shaking fits.

4 Q. No, it's my accent -- choking fits during the night.

5 Did you know that this woman had apparently an allergy

6 to certain cleaners that had caused her voice problems

7 in the past?

8 A. I can't remember if I knew that at the time.

9 Q. "Side vision hallucinations which I find to be quite

10 common in hypothyroidism".

11 Let me just ask you about that. First of all, what

12 other diagnosis might there be for side vision

13 hallucinations other than hypothyroidism?

14 A. Hallucinations in general terms -- of course, there's

15 again a myriad of diagnoses and ocular phenomena, but

16 what I'm describing there and which I describe in my

17 book, which you have kindly read, is a particular --

18 you have to listen to the patient again. It's

19 a particular phenomenon where the patient will say that

20 he or she notes something passing like a shadow or

21 thinks somebody's there. It's a very characteristic

22 account of a patient -- there's a gardener who thought

23 there were robins eating the seeds he had just planted.

24 Q. Very characteristic of hypothyroidism?

25 A. Very characteristic, in my view.

1 Q. Are we going to find that in any book you can lay your  
2 hands on?

3 A. You can find evidence, I'm sure Dr Hertoghe will  
4 provide. The particular description I've given may be  
5 an observation which I'm presenting to the medical world  
6 for future consideration.

7 Q. Let's just take the last 30 years or so of medical  
8 research. Any book you could lay your hands on which  
9 supports that observation of yours?

10 A. The precise observation I have made, I say you will not  
11 find it in a book.

12 Q. Do you know this book, (indicating), "The Thyroid,  
13 a Fundamental and Clinical Text"?

14 A. I've read bits, yes.

15 Q. This book has been contributed to by practically every  
16 well-known endocrinologist in the country, including  
17 Franklyn, Weetman, I think, Lazarus, Toft, the lot. You  
18 know that book, don't you?

19 A. I have said I read it, yes.

20 Q. Are we going to see any reference to side vision  
21 hallucinations, do you think, in that text?

22 A. I don't think so, no. Nor was it vaccination prior to  
23 its inception.

24 Q. Sorry, can you say that again?

25 A. Nor was it vaccination of people prior to its inception.

1 Q. So this is the cutting edge of thyroid disease, is it?

2 A. I think it's an important observation, I would like  
3 colleagues to ask their patients about. I suspect they  
4 don't, probably, so they haven't actually uncovered  
5 this. And patients tend not to raise this feature in  
6 case somebody thinks they're kind of a little bit, you  
7 know ...

8 Q. Hold on, Dr Skinner. Are you saying that for the last  
9 20 years endocrinologists up and down the country have  
10 not heard, apparently, about these hallucinations  
11 because of patients are concerned about telling them?

12 A. Yes.

13 Q. But they tell you?

14 A. Yes.

15 Q. I see. You say that you have given her to Dr Blair.  
16 You say:  
17 "Notwithstanding the thyroid chemistry she is  
18 hypothyroid."  
19 I will not go into that again.  
20 "I really thought there was a very good case for  
21 thyroid replacement and I've given her a prescription  
22 for thyroxine sodium. But I suggest that she doesn't  
23 begin it for 10 to 14 days."  
24 You don't actually say how much, for what it's  
25 worth, do you?

1 A. No.

2 Q. We know that following that consultation and following  
3 that letter, on 8th April Dr Blair advised his patient  
4 against taking thyroxine. I can give you the note if  
5 you want to check it, but it's --

6 A. I have the note and I have seen that note.

7 Q. All right.

8 A. I accepted that.

9 Q. And you started this lady off on a course of thyroxine,  
10 didn't you?

11 A. I think that has been established.

12 Q. Right. When did you next check her bloods? Can you  
13 turn to page 8.

14 A. Do you mean personally or when did Dr Blair do it?

15 Q. I was going to ask you personally. I was just going to  
16 say, I don't want you to be misled. You know this is  
17 not your blood test, is it? Dr Blair asked for this.  
18 We can see that at the top of the page.

19 A. He intimated to me or it was intimated to me that he had  
20 taken a blood test in December of that year.

21 Q. Your original prescription would have lasted for how  
22 long?

23 A. Three months.

24 Q. You saw this patient in March of 2003?

25 A. Could you give me a page, please.

1 Q. It's page 4.

2 A. Yes, I have that.

3 Q. That's when you saw --

4 A. The first follow-up was in January 2004.

5 Q. Right.

6 A. Dr Blair had been dealing with it before.

7 Q. Yes. You saw her first on 20th March 2003, yes?

8 A. Yes.

9 Q. You started her on thyroxine and your prescription would  
10 have lasted three months?

11 A. Yes.

12 Q. We don't have the other prescriptions. There must have  
13 been other prescriptions?

14 A. Yes, I don't understand that and I do -- that would be  
15 a serious shortfall. I don't know why I haven't got  
16 copies of these prescriptions in the notes.

17 Q. But we could take it that she was on thyroxine, she  
18 wasn't on Armour at any stage, was she, this patient?

19 A. Not according to Dr Blair's notes. I didn't see the  
20 patient, you see.

21 Q. So how was she getting these further prescriptions?

22 A. Well, this sounds awful, but I suppose I must have been  
23 writing them for her.

24 Q. Yes.

25 A. In conjunction with Dr Blair.

1 Q. Well, Dr Blair wasn't giving her prescriptions.

2 A. I said in conjunction. He was monitoring the patient  
3 regularly.

4 Q. He was monitoring her, telling her to try and stop the  
5 thyroxine.

6 A. I had no information about that at all. The patient was  
7 returned to the monitoring of the family practitioner.

8 Q. Sorry, look back at 3/28 then. We heard from Dr Blair.  
9 Go back to page 28 of tab 3.

10 8th April 2003. It's quite difficult to read his  
11 writing:

12 "See letter, advised against thyroxine", I think  
13 it is.

14 "26th September [in capital letters] clearly advised  
15 against taking thyroxine."

16 There is no doubt, is there, Dr Blair didn't like  
17 these prescriptions that you were providing for  
18 thyroxine?

19 A. I have no way of knowing that.

20 Q. Well --

21 A. I have had no communication ever from Dr Blair.

22 Q. Tell us how it worked. You have seen the patient first  
23 of all when she's got an apparently normal TSH -- I'm  
24 sorry, a TSH within the reference range but you say only  
25 just, and T4 of 16.8. You start her off on thyroxine.

1 Three months later you must have given her another  
2 prescription, yes?

3 A. I assume so, but I didn't keep copies at that time.

4 Q. No, and three months after that you must have given her  
5 another prescription?

6 A. Yes.

7 Q. You didn't take any blood; you didn't see her?

8 A. Dr Blair was looking after her.

9 Q. No, you are the one giving her the prescriptions.

10 A. I accept that, but I don't accept it's necessary to take  
11 a blood sample in that circumstance.

12 Q. Well, how did you think your thyroxine was working?

13 A. I trusted my colleague who was monitoring it.

14 Q. Your colleague was trying to stop it. You're the one,  
15 forgive the term, knocking out prescriptions for  
16 thyroxine. What were you doing to ensure that that was  
17 the right thing to do?

18 A. I repeat what I was saying, I was entrusting my  
19 colleague who had made no intimation that he was  
20 concerned in any way. Is that not reasonable practice?

21 Q. Dr Skinner, the answer is: you did nothing, isn't it?

22 A. No, it's not. I think it puts the thing in a very  
23 unfortunate light, which I don't think is the case.

24 Q. Perhaps things are in an unfortunate light. You were  
25 giving repeat prescriptions for thyroxine. You did no

1 blood test and you didn't see your patient. That's the  
2 reality, isn't it?

3 A. I think it's the reality. I don't understand the  
4 prescription point, I have to say, in all honesty.

5 Q. By the time we get to December of 2003, this patient has  
6 a T4 level of 39, way beyond the reference range, and  
7 a TSH level of less than 0.1; putting her into the  
8 danger category, as it were.

9 If she stays at that level for long there is  
10 a danger, depending on which research you read, of  
11 osteoporosis? That is right, is it not?

12 A. We discussed that and I think I indicated that that was  
13 not the patients who were under this case, and that  
14 patient did not fall into the category of the patients  
15 that were thus investigated.

16 Q. Because she wasn't over 65?

17 A. She wasn't hypothyroid. Even Dr Blair agreed with that.

18 Q. Hang on. She's got a TSH of 0.1 and a T4 of 39 and  
19 biochemically she was hypothyroid, wasn't she?

20 A. No.

21 Q. What's your definition of biochemically hypothyroid?  
22 Help us.

23 A. I'm trying to help you. It's a term that has no meaning  
24 whatsoever. Incidentally, the laboratory didn't confirm  
25 this view, but it's a high level. Now, you cannot be

1           biochemically hypothyroid.  What you can only say --  
2           well, this reading is above a reference interval.  
3           It depends if you imply in the term "hypothyroid" that  
4           the patient's thyrotoxic, which the patient wasn't.  
5           Dr Blair saw her, didn't think so, and reduced her --  
6    Q.   Hold on, Dr Skinner.  How did you know this patient  
7           wasn't thyrotoxic?  
8    A.   From Dr Blair's notes and from my examination of the  
9           patient about a week or so later.  
10   Q.   Sorry, you didn't have Dr Blair's notes.  
11   A.   I have them now.  
12   Q.   I know you have them now.  
13   A.   I don't understand the question.  
14   Q.   I'll repeat it until you do.  How did you know, when you  
15           were giving her prescriptions for thyroxine, she wasn't  
16           thyrotoxic?  
17   A.   Because I entrusted my colleague.  
18   Q.   You put your trust in your colleague who profoundly  
19           disagrees with your prescriptions.  You're trusting him  
20           to ensure that she does not become thyrotoxic while you  
21           continue knocking out prescriptions.  Is that how it  
22           works?  
23   A.   His profound disagreement doesn't imply that Dr Blair,  
24           who seemed a very sensible family practitioner, is not  
25           capable of seeing if the patient is thyrotoxic.  I think

1           these are two issues here that are being slightly  
2           muddled into one.  If Dr Blair had thought the patient  
3           was at any stage thyrotoxic, he presumably would have  
4           contacted me and told me.

5    Q.  Let's see what happens nine months later when she does  
6           come back to see you again and we have a second  
7           consultation with you.  Page 9 at the very bottom, tab 4  
8           or, of course, your notes, the typewritten version.

9           I am just working off your typewritten version at  
10          the moment, behind tab 4:

11          "Taking reduced dose of 200 micrograms thyroxine per  
12          day."

13          Let us stop there for a moment.  Presumably that  
14          means that previously she had been on a higher dose than  
15          200 micrograms?  Yes?

16    A.  Yes.

17    Q.  Right.  How did she get her --

18    A.  I don't know how she got to 225.  That does surprise me  
19          a little bit, but it's not an excessively high dose  
20          anyway.  Patients used to take 200 to 400 micrograms  
21          in the 60s and 70s.

22    Q.  It depends if there is anything wrong with her thyroid  
23          in the first place, doesn't it, Dr Skinner?

24    A.  Absolutely.  Do you mean if the original diagnosis was  
25          correct or not correct, is that what you're saying?

1 Q. 200 micrograms of thyroxine into a patient who has  
2 a normal thyroid would be an enormous amount, wouldn't  
3 it?

4 A. It wouldn't be a enormous amount, no.

5 Q. A large amount?

6 A. Well, it's a ... It's a question which -- do you mean  
7 if a normal patient who is not hypothyroid takes 200  
8 micrograms?

9 Q. Yes.

10 A. Yes, that would be a thing you would not wish to do.  
11 I don't think the patient would perish in the next few  
12 weeks or something.

13 Q. That's a relief, no doubt.

14 A. I should also add the patient is quite heavy. That is  
15 a matter which merits consideration.

16 Q. Let us have a look again at your second consultation:  
17 "Taking a reduced dose of 200 micrograms thyroxine  
18 on account of palpitations for one month."  
19 I accept that may not mean that throughout the month  
20 she's got palpitations, but that's your note.

21 A. May I speak? I think it was clarified yesterday that  
22 that's free of palpitations for one month.

23 Q. Oh, I'm sorry, I'm reading -- you're quite right, that  
24 is what you said yesterday, but I did want to come back  
25 to that.

1           Can you just help us with the note? It's very, very  
2           difficult, perhaps I'm just bad at reading your writing,  
3           but page 9, there is a squiggle and then "200 micrograms  
4           per day" and then something, "palpitations for one  
5           month".

6   A.   Yes.

7   Q.   You think that squiggle is "free", do you?

8   A.   Yes.

9   Q.   But you translated it originally when you typed up your  
10       notes as "on account of". Which one are you going to  
11       stick with?

12  A.   Let me find the typewritten --

13  Q.   Right at the beginning of tab 4.

14  A.   May I have a page number?

15  Q.   It should be inserted right at the beginning of the tab.

16  A.   Here we are. Now, where are we here now?

17  Q.   It should be 21st January 2004. Second consultation:  
18               "Taking reduced dose of 200 micrograms thyroxine per  
19       day on account of palpitations for one month."

20               Did you dictate this to your secretary or something?

21  A.   Yes, but it depends where you put the adverbial clause,  
22       does it not? A better way would have been to have said  
23       taking reduced 200 micrograms for one month on account  
24       of palpitations.

25  Q.   I don't want to spend too long on it, but this is your

1 document, Dr Skinner, you dictated this to your  
2 secretary, you passed it to your lawyers, they kindly  
3 passed it to the GMC, so presumably you checked it?  
4 A. But I'm standing by it. I'm saying the adverbial phrase  
5 has been transposed.  
6 Q. Don't worry about the adverbial phrase.  
7 A. Well, it's crucial to your contentions.  
8 Q. You're now saying that it should read "free of", and  
9 I just want to know which the true interpretation is.  
10 A. The interpretation is that she has, at this point in  
11 time -- she had been taking 200 micrograms per day for  
12 one month and it was on account of palpitations.  
13 I don't really know why it was on account of  
14 palpitations because I don't think she had any since  
15 that episode -- in fact, it hasn't even started yet.  
16 I don't quite understand that, but she certainly hadn't  
17 had palpitations for a month.  
18 Q. What do you think the palpitations might have been  
19 caused by? I don't think you have seen palpitations  
20 elsewhere in her medical records prior to this date,  
21 although Mr Jenkins will correct me if I'm wrong.  
22 A. I don't remember her having palpitations. She had one  
23 episode of thudding or beating heart in the night.  
24 Q. Right. What do you think might have caused that?  
25 A. Alcohol.

1 Q. She was also on, apparently, 225 micrograms of  
2 thyroxine. Do you think that might have had anything to  
3 do with it?

4 A. When are you alleging she had the palpitations?

5 Q. I'm simply working from your note which says, "No  
6 palpitations since ..."

7 Perhaps I'm being rather slow about this, but if you  
8 write in your note "reduce thyroxine", either on account  
9 of palpitations or free of palpitations, it might  
10 indicate to the uneducated observer that she had had  
11 palpitations. Can you help us?

12 A. Yes, I'm trying my best. These notes, of course, are  
13 from me and you write them down with the patient in  
14 front of you. Now, let me see my note to Dr Blair.  
15 Can we maybe look at that?

16 Q. You mean your letter to Dr Blair?

17 A. The letter following on this.

18 Q. Page 11.

19 A. Now, I don't mention the palpitations there.

20 Q. I was going to ask you about that as well. Here's your  
21 patient complaining about palpitations, she has reduced  
22 her dose of thyroxine as a result. Did you not think  
23 that that was relevant to mention to her GP?

24 A. It was the GP who reduced the dose to 200.

25 Q. Did you not think it relevant to mention to the GP that

1 the patient had complained of palpitations?

2 A. She just had seen the GP about a week before.

3 Q. Dr Skinner, when you spoke to her, she is sitting there

4 in front of you, did she use the word "palpitations"?

5 A. I don't think.

6 Q. I'm sorry, I'm being slow. How else does it get into

7 your note?

8 A. I don't know. I don't remember her saying she had had

9 any problem with palpitations.

10 Q. I don't suppose you do remember now, but can we take it

11 that the note you made as the patient, presumably, was

12 sitting opposite you was an accurate reflection of

13 something she said to you?

14 A. You would hope so.

15 Q. Right, so there is a patient sitting in front of you

16 telling you she has had palpitations. Why did you not

17 mention that to the GP?

18 A. Well, I've answered that. If indeed she said that. She

19 had just come from the GP. You wouldn't reiterate

20 everything back to the GP, would you? He had reduced

21 the dose because of, I think, the FT4 level. He didn't

22 direct me to palpitations.

23 Q. You didn't know what the GP was doing, did you?

24 A. He certainly never contacted me. I had to glean that

25 from the patient.

1 Q. I'll move on. In any event, you did not mention in your  
2 letter to the GP that the patient had mentioned  
3 palpitations, you say because he must have already  
4 known.

5 Let's see what you do say. Now, this is  
6 28th January. Did you know by this stage -- I think you  
7 may have done -- that there had been a letter of  
8 complaint from Dr Jordan?

9 A. I don't think there had been at that stage.

10 Q. You might not have been. I think it was written only  
11 two days earlier. But in any event, you then write on  
12 28th January this:

13 "A note on Patient B who has noticed some  
14 improvements on thyroid replacement and is clearly still  
15 hypothyroid notwithstanding highish T4 reading."

16 Well, your highish T4 reading was the T4 produced by  
17 Dr Blair back in December, which was the T4 of 39 which  
18 you described as highish.

19 "I think the obvious hypothesis is that she isn't  
20 converting T4 to T3 thus back stacking T4."

21 How did you get to that?

22 A. Because we have a patient here, which I think is germane  
23 to the whole issue in these proceedings. We have  
24 a patient with an FT4 of 39 --

25 Q. Which is very high, isn't it?

1 A. Yes, it's high, of course. This an arithmetical truism  
2 again -- and was, to my mind, when I saw her, she was  
3 hypothyroid.

4 Q. What do you mean, "to my mind, when I saw her"? What  
5 was it that made you think she was still hypothyroid?

6 A. May we go to the relevant part?

7 Q. Yes.

8 A. First of all, Dr Blair -- no, that would be  
9 retrospective. I didn't know this at the time, except  
10 I did know, in fairness, that he had only slightly  
11 reduced her dose level. He clearly didn't think there  
12 was a crisis here. Anyway, to answer your question --

13 Q. The notes are at page 9.

14 A. Yes. She had improved and she gave no clinical features  
15 whatsoever of thyrotoxicosis. This is the crucial  
16 point.

17 Q. Sorry, what features did she have of hypothyroidism when  
18 you saw her? Can you just read them out to us?

19 A. Yes. Do you mean what I say in my notes?

20 Q. Yes, on 21st January when you saw her you said she was  
21 still hypothyroid and I just wanted to know, you haven't  
22 done any blood tests?

23 A. We have just had a blood test.

24 Q. The blood test doesn't show she's hypothyroid, does it?

25 A. It can't. We've been through that many, many times,

1           sir. I haven't written down why I thought she was still  
2           hypothyroid. There would be an element of such tedious  
3           reiteration if a doctor did that every time, however,  
4           I did write down her pulse was 56 per minute, which  
5           would be unusual -- it's not impossible, everything is  
6           possible in medicine -- to be thyrotoxic with a pulse,  
7           regular pulse rate of 56 per minute.

8           Her tongue was still enlarged and still indented but  
9           it could still be still enlarged if she had become  
10          toxic, I accept that, and I could feel her thyroid  
11          gland. The whole impression of the patient was such  
12          that she seemed to me and indeed to this day seems to be  
13          hypothyroid.

14        Q. You say she's still clearly hypothyroid and that's based  
15          on the features you just described, is that right?

16        A. Absolutely right.

17        Q. And so you then come to the conclusion that she is  
18          therefore not converting her T4 because otherwise she  
19          wouldn't look like that? Is that right, she wouldn't  
20          have those features?

21        A. It is an explanation of this, yes.

22        Q. And so you decide that she's back stacking, as you put  
23          it, her T4, and so you're going to reduce the T4 and  
24          give her some T3, yes?

25        A. Yes.

1 Q. And that's all on the basis of her, as you put it,  
2 clinical signs. If you wanted to know if she wasn't  
3 converting her T3, which I suggest is an extremely rare  
4 occasion, event, but if you wanted to know if she wasn't  
5 converting to T3, why not do a blood test?

6 A. I don't think blood tests help in this matter.  
7 It wouldn't be how I would normally come to this  
8 conclusion. One of the ways I would come to -- one of  
9 the possible ... and I use the word "hypothesis here  
10 is that a patient, hypothyroid, high FT4, why is this  
11 high FT4 in conventional thinking not making her  
12 thyrotoxic? And a hypothesis is that she's not  
13 converting it to T3.

14 Q. Right. Are there others?

15 A. Yes.

16 Q. That's one possible --

17 A. Yes, that is one possibility.

18 Q. What are the others?

19 A. Another possibility relates to the whole question that  
20 Professor Weetman drew attention to and has been  
21 investigated extensively by Professor Chatterjee in  
22 Cambridge, which is called end organ non-receptivity.  
23 In other words, in spite of high dosage, the cells  
24 in the body are not responding adequately and not doing  
25 the job, if you like, in spite of quite high levels.

1 Q. Why would that be?

2 A. It would be because the processing of the hormones  
3 in the cells is deficient.

4 Q. For what reason might they be deficient?

5 A. Well, there's a lot of work that's now examining the  
6 receptors. There's a genetic problem in that the  
7 receptors to receive these hormones are lacking and not  
8 doing the job. So the way round it actually, that has  
9 been proposed, is pretty well to increase the dose and  
10 overcome it.

11 Q. So what test did you do to find out if your first  
12 hypothesis was right?

13 A. I think I've said I didn't do a test to --

14 Q. No. And then you start her off on T3 with no idea,  
15 frankly, what was happening to her blood in terms of T3.  
16 You just didn't have any idea, did you?

17 A. I think we've been through that, Mr Kark.

18 Q. All right. Let us go to the next meeting that you have  
19 with her. You know by the next meeting, I think, that  
20 there has been a complaint, because if we look at  
21 page 13 a complaint has come in to your desk on  
22 9th March 2004 and you know by now, you've read the  
23 letter from Dr Jordan -- did you know of Dr Jordan?

24 A. No, I've never spoken to Dr Jordan, or she to me, in my  
25 life.

1 Q. But she was the medical director of -- is that your  
2 local trust, Renfrewshire and Inverclyde?

3 A. I'm sort of based in Birmingham.

4 Q. Sorry. Anyway, you didn't know Dr Jordan. You did know  
5 a complaint had been made. This is slightly out of turn  
6 actually. If we go to page 18. Sorry, I should have  
7 dealt with this first. After your second consultation  
8 back on 21st January, of course, you changed the  
9 prescription and have given this patient T3. It took  
10 Tesco's pharmacists to effectively say: hold on, I'm not  
11 prepared to dispense this. Did you find out quite  
12 quickly that a Tesco pharmacist had refused to dispense  
13 one of your prescriptions?

14 A. I was asked this yesterday and I think it was the next  
15 day the patient phoned ... I don't actually know how we  
16 resolved it, and when the Tesco pharmacist, who I also  
17 imagine hasn't seen the patient, decided to release the  
18 appropriate prescription, so ... I've forgotten the  
19 question, I'm sorry. Please ask it again.

20 Q. I asked you if you can remember when you first heard  
21 effectively that the Tesco pharmacy decided they were  
22 not going to release this prescription. Was it quite  
23 soon?

24 A. Yes, I think it was quite soon.

25 Q. Is that quite an uncomfortable position for a doctor to

1           be in? Is it quite surprising when, having issued  
2           a prescription, a pharmacist turns round and says: hold  
3           on, I'm not happy with this?

4    A.   It's beyond comprehension that a pharmacist would do  
5           that, yes.

6    Q.   It's very rare, isn't it? It's not beyond  
7           comprehension?

8    A.   It's beyond my comprehension.

9    Q.   Pharmacists have a duty, don't they, only to dispense  
10           drugs if appropriate, prescription or not. This Tesco  
11           pharmacist was saying, "I'm unhappy with this"?

12   A.   The Tesco pharmacist was sufficiently unhappy to not  
13           execute her proper duty. I think reference to even  
14           a medical textbook would indicate there was nothing  
15           abnormal about this prescription. I'm frankly staggered  
16           by the pharmacist, but ...

17   Q.   You knew the Tesco pharmacist had refused your  
18           prescription. You knew you'd had a complaint in to the  
19           GMC, you had letters from Dr Jordan and Dr Blair.

20   A.   Excuse me, this is a point of detail. I had one letter  
21           transferred to me from the GMC. I had no letter from  
22           Dr Blair. I have never been written to from Dr Blair.  
23           This is a factual point. Sorry to interrupt you.

24   Q.   Fine. I just want to test that. Would you go to  
25           page 19. Do you see that's a letter from Dr Blair?

1 He hadn't written it to you, he had written it to the  
2 GMC?

3 A. Yes.

4 Q. The GMC had sent it to you, hadn't they?

5 A. It was sent to me some time obviously.

6 Q. Let me help you. Go back, would you please, to page 13.

7 A. I could accept I received it at some point. I was only  
8 emphasising he himself had never consulted me on the  
9 patient. So it might save you time to say I accept it.

10 Q. Can you suggest that you received it shortly after  
11 9th March?

12 A. I don't know, but I'm quite prepared to accept that.

13 Q. I'll tell you why I say that. Page 13 is the letter  
14 from the GMC, dated 9th March, and their enclosures  
15 include a copy of the letter from Dr Jordan and a letter  
16 from Dr Blair.

17 A. Okay.

18 Q. Right. So what I was trying to establish is: by the  
19 time you come to the third consultation on 18th March,  
20 you've had the Tesco pharmacist refusing part of your  
21 prescription. You have had a letter, you have seen  
22 a letter from Dr Blair and a letter from Dr Jordan.  
23 Let's go to your notes of what happened on 18th March  
24 and we will find them at page 12 of tab 4.

25 The typewritten version, of course, is right at the

1 beginning of the bundle. This woman was then on  
2 Tertroxin or not?  
3 A. I'm struggling.  
4 Q. Let me help you. Page 12 is your manuscript note?  
5 A. That's my notes on that consultation in March, yes.  
6 Q. Can you tell from your note when the lady was then  
7 on Tertroxin? You will see 75 micrograms and  
8 20 micrograms.  
9 A. Yes, she should have been, yes.  
10 Q. Right, and is there a reference there again to  
11 three-quarters of an hour beating heart?  
12 A. Yes.  
13 Q. What is that a reference to?  
14 A. The patient reported that, about a week ago, she had  
15 wakened in the night and could hear her heart beating.  
16 It then stopped beating and then she came to see me  
17 about a week later, I think concerned about this.  
18 Q. I hope it didn't stop beating, it stopped thudding?  
19 A. Thank you, that's helpful.  
20 Q. She told you about that. It was three-quarters of  
21 an hour, I think, beating heart, and you say excessive  
22 alcohol during the evening. Here you have a patient who  
23 has had palpitations previously. She has then had  
24 a period of three-quarters of an hour of a beating heart  
25 one night. Again, did you think to yourself: well, I'd

1 better be cautious here with my thyroxine and Tertroxin  
2 because that could be causing this lady's heart  
3 problems?  
4 A. Yes.  
5 Q. You did?  
6 A. Yes, I thought it was possible.  
7 Q. What did you do about it?  
8 A. The dose was reduced.  
9 Q. So you did think that your thyroxine and your Tertroxin  
10 might be the cause?  
11 A. It was a possibility one considers. It's hard to  
12 discriminate at the time.  
13 Q. Let's go to page 24, which is your letter following that  
14 consultation. You saw your patient on a courtesy basis  
15 and you say this:  
16 "As you know, she is now taking 75 micrograms  
17 thyroxine per day with 20 micrograms Tertroxin. Seems  
18 to be in reasonable shape although I still think she is  
19 hypothyroid."  
20 Now, again, was this based purely on the clinical  
21 presentation, as it were?  
22 A. Yes, especially her pulse rate of 52, it was getting  
23 lower.  
24 Q. That could be all sorts of things, couldn't it?  
25 A. She could be an athlete, but I think that was unlikely

1 in the circumstances.

2 Q. Well, apart from being an athlete, do other people  
3 sometimes have a slow pulse?

4 A. Oh yes, you can have a slow pulse, but we have records  
5 of her pulse before so we have some temporal information  
6 on the matter.

7 Q. You say:

8 "I think it would be possible in a canny way, as  
9 they say, to increase her input of thyroxine replacement  
10 as I personally doubt the strange episode in the early  
11 hours of one morning was related to excessive thyroxine  
12 replacement."

13 You may or may not have been right about that.  
14 How did you think she was still hypothyroid? What were  
15 the clinical signs specifically, apart from the pulse?  
16 Anything else?

17 A. I'll go to my note if I may.

18 Q. Yes. Page 12 again.

19 A. One of the running features here, which of course one  
20 doesn't record every time you see a patient, is the  
21 appearance of a patient. Regretfully she hasn't been  
22 called by the prosecution.

23 Q. What was it about her appearance?

24 A. Patients who are hypothyroid have a puffy, sort of dull,  
25 brooding visage; her eyes are dull; her hair's in poor

1           shape. I hesitate to mention the art of medicine, but  
2           clinicians of some experience get a feel for a patient  
3           as well. My feel for this lady was that she was  
4           hypothyroid and indeed still is, as I've said.

5    Q. But this consultation, Dr Skinner, was after a year when  
6           you had been treating her with thyroxine?

7    A. Exactly.

8    Q. And then you had your worries about back stacking, so  
9           you gave her some T3, but you still thought she was  
10          hypothyroid. Did you pause for a moment and think to  
11          yourself: hang on, she's been on this stuff for a year,  
12          she's now full of T3 as well; this isn't working, it  
13          must be something else? Did you think that for  
14          a second?

15   A. I think that with every patient.

16   Q. Right. Well, what do you did about that?

17   A. I'm not quite sure what point's being made here.

18   Q. The point is that you continued her on thyroxine, didn't  
19          you?

20   A. Yes, and indeed would resume(?) it. As you see, I have  
21          written to the family practitioner to ask him to rethink  
22          this a little bit.

23   Q. Have a look at page 28, which is your next prescription.  
24          Sorry to jump about. This is July of 2004. It looks  
25          like you've taken her off T3 now, is that right?

1 A. Yes.

2 Q. Why do you do that?

3 A. I think probably to see if she needed it or not because  
4 she was in poor financial circumstances. T3 is quite  
5 expensive, and long-term I thought it was worth asking  
6 the question: could it be that this will do the job and  
7 could the -- I was vaguely wondering, could the T3 be  
8 involved, as you've rightly pointed out, in this curious  
9 episode in the middle of the night.

10 Q. Can we go back to page 24. At that stage, March 2004,  
11 she is on thyroxine and 20 micrograms of Tertroxin, yes?

12 A. Yes.

13 Q. And you say in the middle of the page you're going to  
14 continue with the 20 micrograms of Tertroxin because  
15 it's a canny way to increase her input. Then we go  
16 to July of that year and you have taken her off the T3  
17 and she's now on thyroxine at 150 micrograms. How did  
18 you come to that prescription? You haven't seen the  
19 patient again, have you?

20 A. I'm looking to see the last time I saw her.

21 Q. It was 18th March. We just looked at it, page 12.

22 A. Yes, I did see the patient at intervals, as I've  
23 indicated. I'm not saying it was a formal -- she was  
24 a friend of the practice manager and I think she told me  
25 that she was finding this a bit expensive.

1 Q. Hold on.

2 A. So I rethought the thing.

3 Q. Are you saying you saw -- we haven't heard this before,  
4 I don't think. Are you saying you saw this patient and  
5 there's no note?

6 A. Yes, she was a friend of the practice manager. She  
7 would often be in the premises when I went to my rooms  
8 in Glasgow.

9 Q. Dr Skinner, are you saying you saw this patient, and  
10 it's the first time I think you have said this, in  
11 between March of 2004 and July of 2004 and that caused  
12 you to change this prescription?

13 A. Yes.

14 Q. When did you come to that realisation?

15 A. I can't remember the point in time.

16 Q. Why did you not mention it yesterday?

17 A. I don't think I was asked. It was not a secret.

18 Q. Well, Dr Skinner, you do understand the issues involved  
19 in this case, don't you? You know that it would be  
20 important if you had seen this patient to tell the Panel  
21 about it. And I just ask: was it as you were sitting  
22 there yesterday that you realised you must have seen the  
23 patient or today?

24 A. I don't recall asserting that I had never seen the  
25 patient during that time. Doesn't one come across many

1 patients in the course of one's professional life?

2 Q. We're asking about you and your patients. If you hadn't  
3 seen the patient, would it be fairly unjustifiable to  
4 change the prescription or do you think that would be  
5 reasonable?

6 A. In many circumstances it would be quite reasonable  
7 following a telephone conversation. That happens day in  
8 and day out in medical practice.

9 Q. When was your last blood test for this patient? I think  
10 it's back in December of 2003, isn't it? So seven  
11 months before this changed prescription. How did you  
12 know --

13 A. Which was a reduction.

14 Q. It may have been a reduction, but how did you know what  
15 was going on in her body?

16 A. I would re-emphasise that Dr Blair was ...

17 Q. It's down to Dr Blair to do that, is it?

18 A. It's not down to him. I think that's an unfortunate  
19 implication but the patient was seeing Dr Blair  
20 extremely regularly, he's a competent practitioner.

21 Q. Yes. Page 25 was, in fact, before this prescription and  
22 it was in answer to the letters from the GMC. You say  
23 at the bottom of that page, just to give you an  
24 opportunity of dealing with this, because I commented on  
25 it in my opening:

1            "It is disappointing that after 40 years of  
2            practice, when I have had no complaint or litigious  
3            procedure that my professional practice is being sullied  
4            by an uninformed and strangely adversarial communication  
5            to the GMC."

6            Had you simply forgotten the problems with Patient A  
7            or did you not regard that as a complaint?

8            A. Did I have that complaint by then?

9            Q. Well, yes.

10          A. Did I?

11          Q. Patient A had written to you asking not to see you again  
12          on 6th February 2003. I just ask: had you forgotten  
13          that you had had that previous complaint?

14          A. If that is the case then that contention is out by  
15          a figure of 1 and that would seem to be a wrong  
16          statement to Miss Floyd, if that indeed is the correct  
17          chronology, which -- I'm all mixed up now.

18          Q. Are you mixed up? Do you mean that?

19          A. I'm a wee bit mixed up. I wouldn't deliberately say  
20          that because it would be manifestly obvious to  
21          Miss Floyd that it was wrong --

22          Q. She wouldn't know.

23          A. Why would he she not know?

24          Q. Because the patient hadn't expand to the GMC.

25          The patient had only complained to you. So when you

1 write to Ceri Floyd saying, "I've never had a complaint  
2 in 40 years", she wouldn't know about Miss A, would she?

3 MR JENKINS: Can we look at tab 1, page 51?

4 MR KARK: Thank you.

5 MR JENKINS: It's the last sentence of the letter.

6 MR KARK: Yes. I'm grateful to Mr Jenkins for pointing that  
7 out. Page 51 says, this is the bottom of Patient A's  
8 letter:

9 "I also feel that your mistreatment of me requires  
10 the involvement of the GMC to which I make complaint."

11 Did you actually hear anything from the GMC about  
12 that?

13 A. Lots, but I'm not quite sure when the first intimation  
14 was. There was a morass of papers coming from the GMC.

15 Q. I'm going to move on because there are bigger issues  
16 perhaps.

17 We know, coming back to Patient B, that on  
18 5th August, following your prescription in July, the  
19 patient decided to try to reduce and stop her thyroxine.  
20 I hope we have that at page 28 of tab 3. Yes, the entry  
21 on 5th August:

22 "Has decided to [then down arrow, which I think  
23 means reduced] and stop thyroxine."

24 Yes?

25 A. Are you asking?

1 Q. You can confirm, I think, that that seems to be what the  
2 patient decided to do.

3 A. This is Dr Blair's note.

4 Q. Yes, I understand that.

5 THE CHAIRMAN: Mr Kark, a slight confusion, can we just  
6 catch up here. I think you're at the bottom of page 28,  
7 tab 3; is that correct?

8 MR KARK: Yes. It's difficult to read.

9 THE CHAIRMAN: It's hard to read that date at the very  
10 bottom. 5/8/04, isn't it?

11 MR KARK: 5th August 04, and I'll just read my  
12 interpretation:

13 "Has decided to ..."

14 Then down arrow, which normally means "reduce" in  
15 medical terms, doesn't it?

16 A. I would say that's very fair.

17 Q. And then "stop", and I think it must be thyroxine.  
18 Then, "advised", I think it's, "50 micrograms, every two  
19 weeks."

20 So he's obviously saying to the patient, "Don't just  
21 stop it immediately but try and bring it down".  
22 If we look at page 29 we will see an entry against  
23 14th October 2004, I think that is:  
24 "Off thyroxine."  
25 So she's brought it down. This is really just to

1 finish off this section because you see it was said  
2 a number of times by Mr Jenkins that the patients felt  
3 better on thyroxine. That is your comment as well,  
4 isn't it?

5 A. Yes.

6 Q. If we go to page 122 of tab 3, she writes and we heard  
7 in evidence this was around the beginning of 2006, she  
8 writes:

9 "I have not noticed any difference since the pills  
10 finished and feel that I am well on the road to  
11 recovery", and then thanking the doctor for being  
12 understanding.

13 Then, finally, just to finish this section, file 2,  
14 tab 1, page 3. You wrote to Dr Blair again, didn't you,  
15 in October of 2006?

16 A. Yes.

17 Q. You can turn it up, but I'll read it to you and if I get  
18 it wrong I'm sure people opposite will jump up.

19 25th October 2006, you say:

20 "Dear Dr Blair, a note on Patient B who did not  
21 specifically come in for a consultation, but came to see  
22 her friend. As you know, she stopped her thyroxine, but  
23 it seems to me that she is still hypothyroid because she  
24 was tired and has scattered aches and pains and  
25 difficulty in cognition. Her pulse rate was 62 per

1 minute and blood pressure 150 over 80. Notably  
2 overweight with dull, puffy eyes. It is interesting  
3 that indeed her pulse rate was 62. When you kindly saw  
4 her she was taking 200 micrograms thyroxine a day.  
5 I took a blood sample which is enclosed and, as so often  
6 happens in my view, the thyroid chemistry was fairly  
7 normal, but I know it is a controversial area. I really  
8 do think that Patient B is hypothyroid. Perhaps you  
9 might give some consideration to the possibility of  
10 reinstituting thyroid replacement."

11 Her T4 was 14.1 , and her TSH was 1.92. Even in  
12 these circumstances you were keen to restart the thyroid  
13 therapy, weren't you?

14 A. Yes, I thought that was the correct way forward, which  
15 is why I flagged it up for consideration by Dr Blair.

16 Q. Let me move on to Patient C.

17 Could we take up the heads of charge. Do you still  
18 have the blue pieces of paper?

19 A. I do, here it is.

20 THE CHAIRMAN: We are still with Patient B, are we, then?

21 MR KARK: Yes, we are.

22 Could you go to 8e. You criticise this charge and  
23 I don't take any umbrage with that. But I just want to  
24 see if you can help us. You provided Miss B with  
25 a prescription of Sodium Thyroxine, 100 micrograms per

1 day, until 17th June 2003, to be followed by 125 per day  
2 for three months thereafter.

3 What you did at your first prescription on  
4 20th March was you gave her 25 micrograms for a week;  
5 is that right?

6 A. Yes.

7 Q. Increasing to 100 micrograms?

8 A. No.

9 Q. Sorry, to 50 micrograms.

10 A. Yes.

11 Q. For, is it three weeks?

12 A. Yes, it would usually be. I think it would be.

13 Q. To be followed by 100 micrograms?

14 A. Usually 75 -- I apologise, I can't find a copy -- and  
15 then 100.

16 MR KARK: All right. Thank you.

17 Charge 17 -- no, we're moving on to Patient C so  
18 let's leave that aside until we start Patient C.

19 Madam, are you content for me to continue until 1.00  
20 or would you like to take an early break?

21 THE CHAIRMAN: It's up to you really, where you feel a good  
22 break would come. It could be appropriate if you would  
23 like to start afresh with Patient C.

24 MR KARK: I think that would probably be good for both  
25 Dr Skinner and myself.

1 THE CHAIRMAN: Right. Until 1.45 then.

2 (12.45 pm)

3 (Lunch Adjournment)

4 (1.45 pm)

5 MR JENKINS: Before Mr Kark resumes, could I indicate that  
6 I did have a word with the learned legal assessor before  
7 the luncheon adjournment and I have raised a concern  
8 that there might be some expressions from the members of  
9 the public at the back of the room which might be  
10 distract for the Panel, and I have asked people as best  
11 they can to show a neutral visage when they hear the  
12 evidence.

13 THE CHAIRMAN: Thank you.

14 MR KARK: Dr Skinner, we were going to turn to Patient C  
15 and, as you know, you will find the notes in relation to  
16 Patient C in the back of tab 6.

17 Your first consultation with her took place on  
18 6th March 2004. It is tab 6.

19 Her symptoms were that she was tired, she couldn't  
20 get up --

21 THE CHAIRMAN: Sorry, are you looking for your spectacles?  
22 Are you happy to go ahead?

23 A. I have two, you see. Thank you, ma'am. I have a pair  
24 on. I think I can cope with this one pair. Also,  
25 apologies for being a little late. Normally someone

1 comes and escorts me, but I don't know what happened.

2 THE CHAIRMAN: All right. Thank you.

3 MR KARK: Obviously if you need your other pair of glasses  
4 we will sort it. Tab 6, we have your typewritten note.  
5 The first consultation took place on 6th March and your  
6 notes are that she was now really tired, can't get up  
7 in the morning, poor memory, concentration jumping  
8 about, weight had increased by a stone up to 9 stone,  
9 periods irregular, she felt cold, twitching legs, weepy,  
10 side vision hallucinations of grey things and poor  
11 libido.

12 There's no note there, I don't think, of what you  
13 decided to do. Right at the bottom we can see treatment  
14 further tests, fasting blood glucose, urine glucose and  
15 T4 and TSH. So is that a reference to taking blood  
16 tests?

17 A. The FT4 and TSHs and the other is an aide memoire.

18 Q. What test did you do? Your notes are at page 1.

19 A. Yes, measured the free thyroxine, the thyroid  
20 stimulating hormone, and drew to the practitioner's  
21 attention the question of possible diabetes.

22 Q. Right. So you say in your note to the practitioner,  
23 Dr Summers, that we have at page 2:

24 "I may be in the doghouse, not having a referral.  
25 Her pulse rate was 60 a minute, with rather dry skin and

1 hair and I thought it quite likely she was hypothyroid,  
2 although not seriously hypothyroid, and I have taken  
3 a blood sample and I will let you know what goes forward  
4 at that point of time."

5 She has a family history of diabetes."

6 What did you do with this patient after 10th March?

7 Did you give her a prescription?

8 A. Yes.

9 Q. Did you give it to her at her first consultation?

10 A. Yes.

11 Q. Do you know where it is?

12 A. The prescription I gave her, no. Do you mean is there  
13 a copy in the notes?

14 Q. Yes.

15 A. No. I don't know why there isn't, again.

16 Q. And there's no note in your note of not only what the  
17 prescription was, you haven't noted the fact that  
18 you have given a prescription, have you? You haven't  
19 even noted thyroxine, unless it doesn't appear in your  
20 typed note and it does appear in your original?

21 A. I haven't noted down my intention at all here, I accept  
22 that.

23 Q. Did you not think it was relevant for Dr Summers to know  
24 that you had started her on thyroxine?

25 A. Yes, I do. I think what happened is I was waiting for

1 the thyroid chemistry and perhaps going to write to him  
2 again, but I don't think I have written to him again in  
3 ten days' time.

4 Q. But given that your patient had -- not your patient,  
5 Dr Summers' patient had just been to see you when you  
6 write this letter on 10th March, was there any reason  
7 why you didn't mention to her GP that you have placed  
8 her on presumably an increasing dose of thyroxine?

9 A. None whatsoever.

10 Q. You see, the impression that you might be thought to be  
11 giving in the third paragraph is that you're going to  
12 wait for the blood sample; you are going to get that in  
13 10 days' time and then you are going to take it forward  
14 from there. But that wouldn't be quite accurate, would  
15 it, because you started this patient on thyroxine before  
16 you even got the blood sample back?

17 A. I don't know if I did that or not. It's simply not  
18 recorded. The third paragraph, I think I've said,  
19 "I'll let you know what goes forward". I don't think  
20 I specifically said what you're saying I said, that  
21 I wasn't going to start her treatment before then.

22 Q. What did you mean by "what goes forward"?

23 A. Well, essentially, here is the blood test, as you see  
24 I have done with other patients, and it seems  
25 a reasonable way forward. But I simply can't remember

1 in March 2004 my thinking at the time. I don't  
2 understand why there's not a copy of the prescription.  
3 The same applies to Patient B.

4 Q. Then you get the blood test back and when you got this  
5 blood test, which showed another patient to be within  
6 the reference range and having, even by your own  
7 standard, thought that she was -- let me just get the  
8 expression right -- "quite likely to be hypothyroid but  
9 not seriously so", when you got that blood test which  
10 we have at page 3, did you think for a moment, "Ah, hold  
11 on, that doesn't bear out what I originally thought"?  
12 Or do you think it does bear out what you originally  
13 thought?

14 A. I think it bears it out.

15 Q. On what basis; on what standard?

16 A. I don't want to be tedious, although I have. May I use  
17 the board?

18 Q. I wouldn't mark that board, no. If you need to use  
19 a board, yes.

20 Do you want a clean sheet of paper?

21 A. Whatever is deemed to be correct.

22 This is the 95 per cent reference interval, which,  
23 it seems a bit pedantic to remind you, but that is  
24 95 per cent of whichever population was sampled.

25 Now, 11 is here (indicating). So a point

1 I addressed before was that I would be surprised if it  
2 was alleged, which in fact Professor Weetman alleged,  
3 that you're in or you're out. It seems to me that there  
4 is a difference and likely to be a difference in  
5 a patient who is there (indicating) or a patient that's  
6 there (indicating).

7 So given the patient's clinical features, I myself  
8 would be happy that that is consonant with it, and  
9 similar consideration to the TSH of 2.2. That is on the  
10 new US reference range which goes up to 2.5.

11 Q. Do you see the US reference range is, first of all, not  
12 the standard applied in this country. It's based on  
13 a different population, presumably, with a different  
14 diet, et cetera. The UK reference range is 0.4, for  
15 this laboratory, to 5.5.

16 Even applying the US reference range, this lady was  
17 within it, wasn't she?

18 A. That's beyond dispute.

19 Q. Yes.

20 A. I have agreed with that.

21 THE CHAIRMAN: Can we make that exhibit D10, please.

22 Thanks.

23 A. Indeed, the UK reference range, some advocates have said  
24 it should be 10 before you decide a patient is  
25 hypothyroid.

1 MR KARK: Because the evidence that we have heard is that  
2 most patients, certainly that Professor Weetman had come  
3 across, didn't actually show any signs of  
4 hypothyroidism.

5 A. No signs of hypothyroidism?

6 Q. Under about 10.

7 A. I think you're saying that -- Professor Weetman said  
8 that no patient under 10 --

9 Q. No, he didn't say no patient under 10. I don't have an  
10 immediate note, but my recollection is he said he had  
11 rarely seen clinical signs. You don't agree with that?

12 A. I'm astonished at that assertion, to be honest, but  
13 that's Professor Weetman's assertion.

14 Q. Let's see how this moves on. You start your patient on  
15 6th March on thyroxine. You get the blood tests and you  
16 don't accept that that should dissuade you. You write  
17 to Dr Summers but you don't mention to him that you put  
18 her on thyroxine.

19 Then we turn to page 4 when you see the patient  
20 again. So she has been on thyroxine for two months or  
21 thereabouts and, at that stage, she was taking, is it  
22 200 ... I can't see where you've got a note of it,  
23 actually.

24 A. You have seen my letter to the practitioner.

25 Q. Yes, but is there a note in this second consultation,

1 first of all, of what she's taking? Can you look at  
2 page 4?

3 A. It says: 200 micrograms for three weeks.

4 Q. I'm sorry, where is that?

5 A. I'm on page 7.

6 Q. I think -- I'm not sure the date of that. Anyway, you  
7 say that's 8th May 2004?

8 A. That is what it says on the top left.

9 Q. Well, I think somebody's written in "20th May query",  
10 but in any event if these are your notes of the second  
11 consultation, the patient is taking 200 micrograms --  
12 no, 150 --

13 A. No, no, that was my proposed treatment. The top says  
14 what she was taking, yes.

15 Q. Sorry. She was on 200, your prescription was to bring  
16 her down from that to 150, but you are adding in  
17 Tertroxin?

18 A. Yes.

19 Q. What was the reason for adding in Tertroxin?

20 A. Very much as I have said before, that Mrs C, when she  
21 herself put her dose up to 200, did not allege much  
22 improvement. I think I've said previously that if  
23 a patient plateaus on thyroxine, that is a possible  
24 explanation of it.

25 Q. Right. So this was another conversion problem?

1 A. Yes, it could be.

2 Q. Let's have a look at what you say at page 6. This is to  
3 Dr Summers following that second consultation:

4 "Already improving on thyroid replacement although  
5 she had recently put up her dose to 200 micrograms with,  
6 curiously, no thyrotoxicity."

7 Your basis for that comment was what?

8 A. Could I ask you to --

9 Q. Sorry, page 6?

10 A. Yes. Which part of the comment, the brackets or the  
11 generality?

12 Q. I'm sorry.

13 A. There are one or two sentiments expressed.

14 Q. You're quite right, "Curiously, no thyrotoxicity".

15 A. "Curiously", is that what you're surprised about?

16 Q. What's your basis for saying there's no thyrotoxicity?

17 A. Oh, no thyrotoxicity. Because I couldn't find any when  
18 I talked to the patient and examined her.

19 Q. But you didn't do a blood test, did you? Are you  
20 seriously saying --

21 A. Yes, I didn't do a blood test.

22 Q. -- you can tell from looking at the patient whether she  
23 is thyrotoxic?

24 A. Yes, I am saying that.

25 Q. All right. But in any event, you reduced her dosage,

1           you added in T3. Again, if you were concerned about  
2           this idea of non-conversion, an easy way to find out  
3           would have been to do a T3 check, wouldn't it?

4    A. I've already said I don't think that really helps very  
5           much.

6    Q. Well, if you find that there is plenty of T3 in the  
7           system, is that not going to tell you that the problem  
8           lies not in the conversion, but that you got the wrong  
9           diagnosis in the first place?

10   A. I don't agree. I've looked at the ratios of FT4 to FT3  
11           in a number of patients and it's fairly chaotic. The  
12           second thing in response to your question, which hasn't  
13           really arisen so far in these discussions -- or there  
14           are two points. There is a degree of fluctuation, in  
15           fact, I'm sure Dr Hertoghe will discuss this, when you  
16           take these measurements in relation to various other  
17           factors. It's a large subject.

18           But the other point I think which is very  
19           important: this test doesn't measure activity; it  
20           measures presence. It's an immunological test. What  
21           you measure could be dead or alive. The analogy I would  
22           give is that a graveyard or a dance hall could have the  
23           same number of people but considerable difference in the  
24           activity. That is another explanation for the  
25           bizarreness, if you like, of Patient B having FT4 of 39

1 and hypothyroid.

2 Q. You see, your dosage of T3 was in order to deal with  
3 that, as you saw it, failure to convert. How do you  
4 suggest the patient takes her T3?

5 A. By mouth.

6 Q. How often a day?

7 A. It depends entirely the dosage. The 20 micrograms is  
8 the size of the tablet so, in this instance, once a day.

9 Q. Do you agree with the evidence that we have heard that  
10 the problem with Tertroxin is it has a very short  
11 half-life and giving a patient one tablet a day simply  
12 isn't going to sort the problem out? Do you accept  
13 that?

14 A. No, I don't accept that. In fact, I don't really  
15 understand it.

16 Q. Why not?

17 A. Why don't I understand it?

18 Q. Yes.

19 A. That assertion? Because there isn't a correlation  
20 between blood level of thyroid hormones, it's what it  
21 does to the tissues. In answer to your question, if you  
22 stop a patient's thyroid hormones abruptly it takes  
23 about 3/4 weeks for the patient to decline back with  
24 hypothyroid features. So first of all, the half-life  
25 does not directly relate to the clinical status.

1 Q. Have you done any research about this, about patients  
2 being on T3 and the effect it has on them over the  
3 course of a period?

4 A. Yes.

5 Q. That's based on what? What sort of research did you  
6 undertake?

7 A. Careful observation over 10 years of practice.

8 Q. Right. I thought -- yes, I'd better not comment.

9 A. Sorry, I can't hear you.

10 Q. I was going to comment and I realised I had better not.

11 Let's turn to page 10, which is the next blood test  
12 that was obtained following that consultation. Now,  
13 this is a blood test taken, I think, on 7th August. I'm  
14 sorry, can we go to the page before, first of all, my  
15 apologies, page 8.

16 The consultation, the next consultation, is on  
17 7th August. Yes? I know the date's been knocked off  
18 but I think we've established by now that it was  
19 7th August.

20 MR JENKINS: Page 8, the date is on.

21 A. Yes, it is 7th August.

22 MR KARK: On my copy it has certainly been knocked off the  
23 top.

24 On this occasion you prescribed the patient  
25 150 micrograms and 20 micrograms of Tertroxin plus B12?

1 A. The first part -- this is in August. No, I didn't  
2 prescribe that. The patient was going to ask Dr Summers  
3 if he would prescribe it. And the B12 she had been  
4 taking, she did take things off her own bat, as I said.

5 Q. Right. And you get the blood test results, if we look  
6 at page 10, and her blood tests reveal by this stage  
7 that her T4 is at 25.5 so beyond the reference range,  
8 her TSH has disappeared so that it's negligible or  
9 unrecordable, and her T3 is 8.9 so beyond the reference  
10 range.

11 Your comment about this was that the reading is  
12 quite acceptable, given that she was not thyrotoxic.  
13 Again, I'm sorry it's tedious, but by what standards did  
14 you form the opinion that she was not thyrotoxic?

15 A. Through the history and clinical examination.

16 Q. At page 9 you write to your patient:

17 "Here are your thyroid chemistry and cortisol, which  
18 indicates that the levels are a little on the high side  
19 but if you are not feeling any adverse effect then  
20 I think you should stay at the same dose."

21 Did you send that test to Dr Summers?

22 A. Yes.

23 Q. Is there a letter, covering letter, for that?

24 A. Patient C indicated that she didn't want a letter sent  
25 as she was planning on changing her family practitioner,

1 the wherefores of which I don't know, and would let me  
2 know the name of her new family practitioner. It was  
3 Dr Cundy. She doesn't, in the event, let me know that  
4 and I must confess I didn't pursue the matter at that  
5 point in time.

6 Q. When Dr Summers gave evidence he said that if he had  
7 seen the blood test, and this is going back to  
8 the original blood test, he would not have prescribed  
9 her thyroxine. Do you remember that?

10 A. I recall it very well.

11 Q. And that he was simply relying on your letters as  
12 a diagnosis of hypothyroidism. Do you say that you did  
13 write to him and you did include the blood test?

14 A. I think it's clear I wrote to him and I always send the  
15 blood test to the family practitioner and, if it is  
16 different, the referring practitioner.

17 Q. Yes. You see, again you have claimed on a number of  
18 occasions this patient was, I think, getting better from  
19 the thyroxine. But I just want to look at what the  
20 patient notes record. If we go back to tab 5, page 1 --

21 A. These are the family practitioner's notes?

22 Q. Yes. What the patient is revealing on 7th September  
23 when she sits down with Dr Ince was that she wasn't sure  
24 if she was happy with her prescription. Now, it's true  
25 to say that she also reveals that she's on

1 150 micrograms thyroxine, which you accept, I think you  
2 had given her? Yes?

3 A. I think Dr Summers had.

4 Q. I beg your pardon, all right yes, Dr Summers had been  
5 giving her, but purely as a result of what you had said?

6 A. Yes, under my suggestion.

7 Q. And two others to potentiate. One of those, presumably,  
8 was Tertroxin. Do you know what the third one was?

9 A. I haven't been advised of this curious thing. I don't  
10 know what it's about.

11 MR JENKINS: It's tab 2, page 2, of the other bundle.

12 MR KARK: Okay. Is it Armour?

13 MR JENKINS: It's what it says.

14 MR KARK: It looks as if it was probably Armour.

15 A. I wonder if it might be the B12 she puts herself on, but  
16 that's complete speculation.

17 Q. Could we just look at what happened to her TSH level,  
18 because Dr Ince thought it was sensible to check that.  
19 Same page, 24th September, her T4 level's gone up to  
20 21.7, her TSH level is 0.01 and that's the sort of TSH  
21 level that wouldn't bother you; is that fair?

22 A. Sorry, TSH level, I wouldn't be concerned if the  
23 patient, again sorry to be tedious, shows no signs of  
24 thyrotoxicity.

25 Q. Can we see what you wrote on 3rd October to this lady's

1 insurers. We'll go to tab 6, please, page 11 first of  
2 all, where you're asked what are the current signs and  
3 symptoms the patient is suffering from.

4 Can I just ask: are you on the list for any major  
5 insurers?

6 A. You mean the likes of BUPA and PPP?

7 Q. Yes.

8 A. No, following my first Interim Orders Panel, the General  
9 Medical Council wrote to them and, because of that, they  
10 took me off their list about two years ago, so --

11 Q. At this time were you then on the list of BUPA?

12 A. I have to work out, first of all, this date. I'm not  
13 good at dates.

14 Q. September 2004.

15 A. I wouldn't have had my first IOP then so I would be,  
16 yes.

17 Q. Can we go to your letter to the insurers, page 14.

18 A. What tab, please?

19 Q. Let's stop and slow down. It's tab 6.

20 A. Sorry.

21 Q. That's all right. And page 14. Are you with us?

22 A. Yes, thank you very much.

23 Q. Okay. You write this:

24 "She is showing residual clinical features of  
25 hypothyroidism with ongoing fatigue, poor memory,

1 concentration and insomnia. There has been an  
2 improvement in both symptoms and signs since the  
3 treatment has been instituted. The treatment is thyroid  
4 replacement using thyroxine and Tertroxin and/or B12.  
5 Readjustment of dosage until the patient is returned to  
6 optimal health. Thyroid replacement will remove the  
7 symptoms but will not of course cure a fundamental  
8 thyroid deficiency."

9 What is that a reference to? What thyroid  
10 deficiency?

11 A. Well, what was intended, and I think it's quite  
12 reasonable, is that if the patient stops treatment she  
13 will return to having symptoms and signs. We may not  
14 know the fundamental thyroid deficiency. It could be  
15 traumatic, infectious.

16 Q. But you've done no test to find out, have you? You have  
17 done no autoimmune antibody test?

18 A. I have not tried to identify the aetiology of the  
19 thyroid deficiency. I think it suffices at this point  
20 to say that I thought I had identified such.

21 Q. Then you say:

22 "The prognosis is excellent and, while the patient  
23 needs regular follow-up, it is not a chronic condition  
24 in the sense that the patient will continue to suffer."

25 What do you mean by that?

1 A. Which part of the sentence?

2 Q. Well, first of all, "The prognosis is excellent".

3 The prognosis was excellent, was it, if she carried on

4 with the T4?

5 A. That would be my view at the time.

6 Q. But it's not a chronic condition. What does "a chronic

7 condition" mean?

8 A. Well, chronic arises from the Greek chronos: time.

9 It means that it goes on a long time and it will go on

10 for the rest of the patient's life.

11 Q. Right. Well --

12 A. Given she is not treated, but there would be no reason

13 for her not to be treated.

14 Q. But you say:

15 "It's not a chronic condition."

16 What you mean surely is: it is a chronic condition,

17 but she can be treated as long as she keeps taking the

18 tablets?

19 A. Yes, but the sentence says, "Needs regular follow-up"

20 and if the patient's having regular follow-up one

21 fervently hopes it's not a chronic condition or your

22 treatment's been a failure. I'm not very sure what

23 you're driving at here.

24 Q. I'm trying to establish with you whether 5 and 6 are

25 compatible with each other.

1 A. Okay.

2 Q. And you say they are?

3 A. I do.

4 Q. I see. We move on from 3rd October. We know that what  
5 else was happening with this patient back at her surgery  
6 is that Dr Ince was concerned about your prescription  
7 and the patient notes reflect that the patient felt no  
8 better, she said, on thyroxine. That's page 1 of tab 5.

9 Your take on this, for want of a better word, but  
10 your observation of the patient, and this isn't the  
11 first time that it's happened, seems to be different to  
12 what the GP is seeing. Would you accept that?

13 A. Yes.

14 Q. On a number of occasions you seem to see the patient  
15 getting better but when we look at the GP notes, we see  
16 comments such as this at page 1, 5th October:

17 "Has been seeing specialist but feels no better on  
18 thyroxine."

19 Do you have any explanation for that or not?

20 A. Yes.

21 Q. What's that?

22 A. You have to return to the time factor. I didn't see the  
23 patient in October. It's perfectly conceivable she  
24 wasn't feel better then for reasons I don't know. My  
25 statement was made when I saw the patient and she

1           certainly said she was feeling better.

2   Q.   Right.

3   A.   And got promoted in her job.

4   Q.   Let's have a look at Dr Ince's letter to you, which is

5           at page 9 of that same tab.  Let's stay with tab 5 for

6           a moment, page 9 where she writes:

7           "I would be grateful if you could provide with me

8           more information about the diagnosis of hypothyroidism

9           in this lady.  Please confirm the symptoms she was

10          suffering from at the time and also any blood test

11          results that you have, which confirm the diagnosis.

12          Please clarify the dose."

13          Yes?

14  A.   Yes.

15  Q.   You write back to that on page 11:

16          "I was consulted by Patient C in March following

17          another spot of confusion."

18          In other words no referral.

19          Then this:

20          "As you say, Patient C had a number of features and

21          I thought her thyroid chemistry was suggestive of

22          hypothyroidism."

23          Let's stop there for a moment.  Where had Dr Ince

24          said that the patient had a number of features?

25  A.   She didn't, and it's manifestly a typing error referring

1 to the paragraph above. I have enclosed  
2 a correspondence, and it should say: "as you see". So  
3 I apologise for that. I think that's reasonably  
4 obvious. I'm not going to say Dr Ince said something  
5 she didn't say.

6 Q. So it should be:

7 "As you see from the correspondence to your practice  
8 to date, she had a number of features and I thought her  
9 thyroid chemistry was suggestive of hypothyroidism."

10 I will not go through that again. I think we  
11 disagree on that?

12 A. Yes, we disagree on that.

13 Q. "As the years go by I become less and less reliant on  
14 thyroid chemistry as an index of diagnosis of treatment  
15 level [treatment level] in hypothyroid patients."

16 That I suppose is consistent with your evidence to  
17 this Panel that there is no TSH level with which you  
18 would be uncomfortable. Is that fair?

19 A. It's fair on the low end. I would be uncomfortable if  
20 a patient came and they'd a TSH level of 84 but, within  
21 the context of this discussion, it is fair.

22 Q. I won't turn up the letter but you know that Dr Ince  
23 took advice from Dr Prentice. Do you remember that?

24 A. I do remember that letter, thank you.

25 Q. Dr Prentice's -- it's in the other file, I'm not going

1 to turn to it -- view was that this patient was  
2 hyperthyroid. Do you remember that?

3 A. Hyperthyroid?

4 Q. Hyperthyroid. Yes?

5 A. I think he said that. We can look it up but I'll accept  
6 he said that.

7 Q. If anyone wants to check, file 2, tab 2, page 5,  
8 I think.

9 That was no doubt on the basis of a TSH which was  
10 unrecordable. The earlier TSH had been unrecordable at  
11 0.01 and the T4 had, in fact, been 15.

12 Can we just turn up the letter? You don't need to,  
13 although please feel free to do so. It's file 2, tab 2,  
14 page 5.

15 He says:

16 "As you say, the patient appears to have been  
17 started on thyroxine in spite of normal blood tests and  
18 the repeat results on 16th August [which we have in the  
19 other file at 6, page 6] which you kindly forwarded  
20 showed that she was in the hyperthyroid range for both  
21 free T4 and free T3."

22 That blood test is in your own notes, I think. But  
23 in any event, he was saying she was hyperthyroid. You  
24 don't accept, do you, that simply because a TSH is low  
25 or unrecordable and T4 is very high, that that patient

1 is necessarily hypothyroid?

2 A. The T4 and low --

3 Q. No, T4 is low and TSH --

4 A. You said very high.

5 Q. All right. Beyond the reference range.

6 A. Thank you. No, I don't.

7 Q. And, eventually, we know that Dr Cundy reported this to

8 the GMC and you wrote to the GMC and your letter is at

9 page 7, I think, revealing your disappointment that

10 Dr Cundy had made a complaint.

11 THE CHAIRMAN: Sorry, we're back to file ...?

12 MR KARK: 2, tab 2, page 7 and 8.

13 Just to be fair to him, I think you assert that

14 Dr Cundy asserted that the patient had been started on

15 thyroxine of 200 micrograms. That wasn't his error,

16 actually, was it? It was Dr Ince who made the error?

17 A. I don't know who made the error. Was it not Dr Prentice

18 who asserted this for some bizarre reason?

19 Q. In any event, it certainly wasn't Dr Cundy.

20 So far as Patient C is concerned, you put her on to

21 thyroxine when her blood levels were such that, I would

22 suggest to you, no endocrinologist would have started

23 this woman on thyroxine treatment.

24 Do you accept that?

25 A. I don't know if it's in order but I keep drawing your

1 attention to a survey that I'm carrying out at the  
2 moment, which would rather gainsay that.

3 Q. Dr Skinner, let me make my position clear. You can call  
4 as many endocrinologists as you can find to give  
5 evidence before this Panel that would support your  
6 contention that they would have treated this patient  
7 in that way. All right?

8 A. I did not say that. I said I would like to draw  
9 attention to my survey which I have done, which --

10 Q. No. If you want to call evidence of that which can be  
11 tested by cross-examination, you are very welcome to do  
12 so?

13 A. Thank you, I would like to.

14 Q. Let's finish off looking at the charges in relation to  
15 Patient C. Head of charge 17: on a date unknown before  
16 8th May 2004, you prescribed Miss C with  
17 Sodium Thyroxine.

18 I think your evidence has been that, in fact, you  
19 would have prescribed her with thyroxine on the day of  
20 the consultation, the first consultation, which was --

21 A. Yes, I think so. As I said, I can't find my copy.  
22 I don't know why.

23 Q. So if we were to put in the right date there,  
24 6th March 2004, you prescribed Miss C with  
25 Sodium Thyroxine and leaving out the words "of unknown

1           dose" and indeed "for an unknown period of time",  
2           leaving those out, you would accept that you prescribed  
3           her with Sodium Thyroxine?

4   A.   Yes, thank you.  I think that's reasonable and  
5           I appreciate that because it's not in the note.

6   THE CHAIRMAN:  Will you just confirm that date again on 17?  
7   MR KARK:  6th March is the date of the consultation.

8   THE CHAIRMAN:  Thank you.

9   MR KARK:  On 8th May, head of charge 19b, again if we were  
10           to remove the words "for an unknown period of time"  
11           that is simply a reflection of the evidence that we had  
12           at the time.  You would no doubt admit that?

13  A.  I would.

14  Q.  All right.  The same does not apply for 21b because you  
15           say you didn't provide that prescription, that was  
16           provided by Dr Summers but upon your advice?

17  A.  Yes.

18  Q.  Could I just ask you about 24b, which asserts that the  
19           blood test following the results from 16th August -- and  
20           I'll try and find you the page in a moment.  It is at  
21           tab 6, page 10.  Could you just turn that up, tab 6,  
22           page 10?

23  THE CHAIRMAN:  Of file 1.

24  A.  Thank you, ma'am.  I have it.

25  MR KARK:  Okay.  Can you just have a look, the T4 shows

1           that -- this lady's T4 is 25.5, outside the reference  
2           range. Her free T3 was 8.9, outside the reference  
3           range, her TSH was unrecordable.

4           The wording of the charge is that the blood test  
5           results demonstrated that Miss C had become  
6           biochemically thyrotoxic.

7           Ignoring signs and clinical symptoms and all the  
8           rest of it but just concentrating on biochemically,  
9           do you agree that she was thyrotoxic?

10        A. No.

11        Q. At what stage would you say a patient does become  
12        thyrotoxic?

13        A. When the clinical features indicate it. I'm querying  
14        this charge's wording "biochemically thyrotoxic".

15        I don't think a laboratory test can be thyrotoxic. I'm  
16        not trying to be facetious. I would accept the charge  
17        if it said the blood test lay outside the 95 per cent  
18        reference intervals, which would seem to be an accurate  
19        display of the situation.

20        Q. Are you saying that -- it doesn't matter what the  
21        readings and the blood test are, the patient is never  
22        biochemically thyrotoxic?

23        A. I'm not saying that.

24        Q. Right. At what point would you say they'd become  
25        thyrotoxic, just on a blood test?

1 A. A blood test cannot strictly be thyrotoxic. If you're  
2 asking me if a patient suddenly appeared and said, "My F  
3 thyroxine level is 100", it would be a foolish man  
4 indeed who would not very carefully examine that patient  
5 to see if they were thyrotoxic. My quibble with this  
6 charge is the phrase "biochemically thyrotoxic".  
7 I think it's almost a contradiction in terms, not quite  
8 as strong as that.

9 Q. Let's move forward.

10 Patient D, please. Again, I think this lady was  
11 certainly not referred to you, was she?

12 A. Certainly not. Indeed the opposite.

13 Q. Because Dr Stewart didn't want to send her to you and  
14 wrote to her on 19th July 2004 to say, "Your thyroid  
15 tests are completely normal", and he wouldn't refer her  
16 to you. Her TSH level, which we have at 7/6, if anyone  
17 wants to check it, was 0.67 and her T4 level was 13.

18 Nevertheless the patient calls you. You then write  
19 back to Dr Stewart, having discovered what he's written  
20 about you, and you threaten at the bottom of page 7,  
21 tab 8, file 1 -- let's just start, actually at the top  
22 of the page:

23 "Patient D has passed me a letter from you, which  
24 makes reference to my medical practice. I'm sure you  
25 know that I've never met this patient and thus the

1 predication that I would be prescribing thyroxine for  
2 her would seem to be presumptuous to say the least."

3 It was nevertheless right, wasn't it?

4 A. In his predication.

5 Q. Yes.

6 A. He was absolutely right.

7 Q. Because this lady who walked through the door with what  
8 some would say, or anybody would say, were blood tests  
9 within the normal range, nevertheless gets her  
10 thyroxine.

11 At the bottom of the page, page 7, you complain that  
12 he's sullyng your professional reputation and you seek  
13 legal redress. Did you?

14 A. No.

15 Q. We've got the patient's history as written out by her,  
16 page 10, tab 8. She hadn't felt completely well since  
17 her pregnancy. She was asthmatic, she had allergies and  
18 she had been on Prozac. She meets you on 24th August  
19 and you start her on thyroxine, yes?

20 A. Yes.

21 Q. Again, was there a differential diagnosis here other  
22 than hypothyroidism?

23 A. There is a differential diagnosis in every patient.

24 Q. What did you think might be her other alternative  
25 problem?

1 A. I didn't think there was a strong indication that there  
2 was an alternative problem at all. I think the patient  
3 herself confirmed that to the Panel, manifestly, when we  
4 saw her.

5 Q. She wanted to come to see you because she thought she  
6 had the signs and symptoms of hypothyroidism, didn't  
7 she?

8 A. Yes, she certainly did.

9 Q. Do you make efforts to ensure that you are not actually  
10 conducting a process whereby you're simply agreeing with  
11 the patient's belief in their own problems? Do you  
12 guard against that sort of consultation?

13 A. It's never occurred to me in my professional life that  
14 that was part of my modus vivendi, I have to say.  
15 Are you referring to, like Professor Franklyn,  
16 continuing thyroxine in a patient she didn't think had  
17 hypothyroidism? I try not to do that.

18 Q. This was a patient who comes to you hoping that this is  
19 going to be a cure for her ills, as most of your  
20 patients do, don't they? When they walk through your  
21 door --

22 A. Every patient's looking for a cure.

23 Q. Yes, but when a patient walks through your door instead  
24 of the Birmingham Endocrine Unit, so they turn left  
25 instead of right, as it were, they don't go to the

1 Endocrine Unit, they walk through your door because they  
2 believe in general they have hypothyroidism, don't they?  
3 A. Many have come to the end of a long trail where they've  
4 believed this for many years.  
5 Q. They think if they walk through your door, they're going  
6 to get thyroxine when they walk out of it?  
7 A. No, I don't agree with that part.  
8 Q. How many of your patients who walk through the door  
9 do you find actually do suffer from hypothyroidism?  
10 Can you give us a percentage?  
11 A. Yes, I did yesterday. A very large number, but a large  
12 number, I refer -- I said to you before, half the  
13 patients are already taking thyroxine. About half of  
14 the other patients I refer to the family practitioner  
15 because I'm not always sure of the diagnosis.  
16 Q. So of your patients that do walk through your door, you  
17 say a very large number. Can you give us a percentage  
18 who were actually, in your view, hypothyroid?  
19 A. Of the patients who don't come on a prescription? Who  
20 don't come already --  
21 Q. Who come for a diagnosis.  
22 A. I would say about 95 per cent.  
23 Q. Does that mean that about 95 per cent of those patients  
24 walk out either with a prescription for thyroxine or  
25 with advice to their general practitioner to give them

1 a prescription for thyroxine?

2 A. Yes.

3 Q. You write a letter to Dr Blanchard, page 13, and you are  
4 writing to Dr Blanchard because, obviously, your  
5 relationship with Dr Stewart isn't necessarily going to  
6 be a good one. So you write to another partner in the  
7 practice and I don't criticise you for that.

8 A. Yes, the patient asked me to write to Dr Blanchard.

9 MR JENKINS: At page 1 of that tab, he's given the name of  
10 Dr Blanchard as the GP to write to.

11 MR KARK: I'm not making any criticism. I can understand  
12 why you might not want to write to Dr Stewart having  
13 received the missive that you did.

14 A. Thank you.

15 Q. Page 13, middle of the page:

16 "Patient D has a five-year history of exhaustion,  
17 falls asleep most of the time, scattered aches and  
18 pains, poor memory with interesting side vision  
19 hallucinations which are very common in hypothyroidism  
20 and poor soul was weeping. She had an enlarged thyroid  
21 gland and her TSH was perfectly normal. But TSH levels  
22 are good servants but bad masters."

23 I just want to ask you momentarily about that. Once  
24 a patient is on thyroxine, the TSH level is, you would  
25 say, pretty irrelevant, isn't it?

1 A. Unless it creeps up for some unaccountable reason. It  
2 tends to shoot down pretty quickly, as Professor Weetman  
3 drew a graph emphasising the slightly one-sided  
4 correlation to the log of the TSH. It's irrelevant,  
5 with respect to how the patient feels. I do apologise  
6 for reiterating this so many times.

7 Q. It's quite all right. I keep asking you.

8 A. You do indeed, Mr Kark.

9 Q. Just to make this clear, you don't actually make any  
10 effort to keep within the reference levels, the TSH  
11 reference level.

12 A. Certainly not.

13 Q. You think that's an irrelevance?

14 A. I do, given how the patient feels.

15 Q. All right.

16           You write to the patient. If we could go to page 17  
17 of tab 8. You enclose the blood test results that  
18 you have obtained. This consultation, just to remind  
19 ourselves, was back on 24th August so these blood test  
20 results were as a direct result of that.

21           The blood test results show that her T4 was 14.2 and  
22 TSH was 1.9. Now, 14.2, my maths has never been very  
23 good, but isn't it almost bang in the middle between 9  
24 and 22 in terms of the middle of the reference range?  
25 If you put 14.2 on your diagram -- do you want to do

1           that?

2    A.   Yes, by all means.  We have to divide it up into  
3           integers first, don't we?  I'm going to put it there  
4           (indicates).  Will you accept that?

5    Q.   I'll accept that.  How much closer to the middle of the  
6           reference range would you think it could get, as it  
7           were?

8    A.   Quite a lot.

9    Q.   Within a point or two?

10   A.   No, no.  The mean of a non-normal distribution doesn't  
11           sit in the median value.  I've counted up lots of these  
12           values and the mean runs about 17.  Now, it's 0.1 away  
13           but it's not exactly up there.  (Indicates).

14   Q.   What about the TSH, TSH 1.9?

15   A.   As Professor Weetman drew, and I have confirmed this  
16           with my own clinic, it's a skewed distribution whereby  
17           the average lies about 0.9.  So the probability of  
18           getting values as you go this way becomes less and less.  
19           1.9 would be about there (indicating).  It would be in  
20           no circumstances regarded as abnormal.

21   Q.   All right.  Can I ask you this actually: have you ever  
22           had a blood test that you've had a look at and you  
23           thought, "Well, despite my observations of the patient,  
24           that patient is so dead in the middle of the TSH  
25           reference range and the T4 reference range that really

1 I ought to look at this again"?

2 A. I'm so frightened to be tedious. Again, a blood test in  
3 isolation is almost a non-concept. I have had patients  
4 where the clinical features were not dramatic and might  
5 even be marginal. Then certainly, if the free thyroxine  
6 was in the middle or higher or near the upper limit of  
7 the reference integer, you would think again.

8 The notion of medical practice taking laboratory  
9 results in isolation is really a non-concept. It  
10 doesn't exist.

11 Q. Let's see what you wrote to the patient, page 17:  
12 "I enclose your thyroid chemistry [which we just  
13 looked at] and although it is not significantly  
14 abnormal, I believe you may benefit from a trial of  
15 thyroid replacement."  
16 "It's not significantly abnormal"; it's not abnormal  
17 at all, is it?

18 A. It's within the reference intervals. If I'm allowed to  
19 say, I'm writing to a patient here and --

20 Q. Exactly. That's exactly right. This is a patient who  
21 is going to listen to you very carefully as to whether  
22 she should take thyroxine or not?

23 A. Oh, indeed, absolutely.

24 Q. When you write to a patient there's a duty to be clear  
25 and absolutely accurate, isn't there?

1 A. Within slight limits of not worrying a patient  
2 unnecessarily, but I accept that.

3 Q. I accept that.

4 A. There could be circumstances where you might not.

5 Q. You certainly mustn't mislead the patient, though, must  
6 you?

7 A. No.

8 Q. Why did you write though this woman "although it's not  
9 significantly abnormal"? Why didn't you just write,  
10 "It's not abnormal. It's within the reference range,  
11 but nevertheless ..."?

12 A. I don't know.

13 Q. Then you receive the letter, page 19, from the practice,  
14 asking you to discharge the patient. Can I ask you to  
15 go to page 23, please.

16 That's your prescription for thyroxine, which is  
17 actually a day before the consultation, isn't it?

18 A. Yes. That has been brought to my attention, yes.

19 Q. Are you disturbed by that?

20 A. Well, I know full well, unless I've slightly taken leave  
21 of my senses, I'm not writing the prescription out the  
22 day before the patient comes. I think it's some date  
23 thing. I do often get a bit mixed up with dates.

24 Q. It would be quite inappropriate, wouldn't it, to write  
25 out a prescription for a patient before you have seen

1           them, as if you knew exactly what was going to happen?

2    A.   Has that been alleged by the prosecution?

3    Q.   I'm asking you, do you agree that it would be quite

4           wrong for you to print up a prescription for a patient

5           in advance of a consultation, a prescription for

6           thyroxine?

7    A.   Yes, I understand, for thyroxine.  I think "quite wrong"

8           is strong.  It would be rather odd and I can't imagine

9           anyone doing it, honestly.  It wouldn't be the end of

10          the world.  A patient might have phoned or something.

11        I don't know, but I didn't do it anyway.

12   Q.   Then we have the notes of the consultation at page 24.

13          The second consultation is 18th November, which is

14          at the top.

15                Do you change the dose here, can you help us?

16   A.   I'll try.  Let's see.  Where are we here ... on the 17th

17          I suggested 125 for three weeks, 150 per day for three

18          weeks, and 175 per day for six weeks.

19   Q.   Yes.

20   A.   Why do you --

21   Q.   Is that the prescription that you actually gave her?

22   A.   I think so.

23   Q.   Is that a change from previously, from what she had been

24          on before?  Can you help us?

25   A.   I want to find my notes before that.

1 Q. Well, the earlier consultation is page 11.

2 A. Yes. On that occasion, I suggested she took at the same  
3 sort of time integers, 50, 75, and 100, and I've noted  
4 when she came back on the 18th that is what she was  
5 doing. That's that wee note on the top right.

6 Q. This is a change of dosage, isn't it?

7 A. Do you mean after I had seen her?

8 Q. Yes.

9 A. Yes.

10 Q. I didn't mean between the prescription and the --

11 A. Yes, yes, was the answer I should have given.

12 Q. It's an increase in dosage?

13 A. Yes.

14 Q. You next see her on, I think, 23rd February 2005.

15 A. Yes.

16 Q. And we have that at page 24. Again, can you tell us  
17 what happened to the dosage there?

18 A. I recommended that she can increase the dose to 125 per  
19 day, alternating with 150 per day, for three to four  
20 weeks, and then proceed to four weeks -- sorry, four  
21 weeks at 150 per day, but proceed on the 125 for another  
22 month and I wrote to Dr Blanchard to advise that I was  
23 increasing the programme.

24 Q. Your last blood test was, of course, back in August of  
25 2004. Do you think now, on reflection, you should have

1 taken further blood tests or do you think that would  
2 have been redundant?

3 A. I think you do if you judge it necessary. It's not  
4 necessary to do it as an unflagging routine in a patient  
5 who's not showing any signs of thyrotoxicity. That has  
6 been my strategy.

7 Q. Can we turn, please, to what happened the following  
8 year. That was your third consultation, I mean, sorry,  
9 in 2005. That was your third consultation,  
10 February 2005. You write to Dr Blanchard to talk about  
11 the patient fiddling with the dose. Let's just look at  
12 that page, 29, please.

13 "She seems to be on reasonable track [you write] and  
14 she has certainly noticed a significant reduction in her  
15 hypothyroid features, although she does seem somewhat  
16 nervous of this perfectly safe medication and I am not  
17 too concerned about effectively her fiddling with the  
18 dose within sensible limits."

19 That is still your view, is it?

20 A. About a patient changing the dosage?

21 Q. Yes.

22 A. Yes, I'm not too concerned in a small change in  
23 patient's dosage. It's much better that they do it and  
24 tell you rather than fly off and make eccentric  
25 doublings and triplings which some patients could do.

1 Q. Page 31, just to finish this section. There's the  
2 fourth consultation with you, I think, on, is it  
3 18th August or 16th August, at the top of the page?  
4 A. I think it says 16th.  
5 Q. Right. Did you alter the dosage on that occasion, if  
6 you look at the bottom of that note.  
7 A. Yes.  
8 Q. Can we look over the -- sorry, did you think it was the  
9 16th or the 18th?  
10 A. I don't know dates. Something like that.  
11 Q. Right. We can see over the page, page 32, that you seem  
12 to have increased the dose to 175 micrograms and  
13 200 micrograms thereafter. Yes?  
14 A. Yes.  
15 Q. Then you write this to Dr Blanchard, page 33, and I just  
16 want to understand what you say here:  
17 "A note on D who continues to improve. But it's  
18 still a long way from being euthyroid which may,  
19 of course, relate to the relative dose body weight."  
20 How may I ask, did you assess whether she was  
21 euthyroid or not? The last blood test, I think was  
22 in June of 2005, if you look at page 26. No, sorry,  
23 that's the one that goes back to 2004.  
24 Did you have a blood test in 2005, a relevant blood  
25 test in 2005, before you wrote this letter?

1 A. I haven't had a blood test since 2004.

2 Q. No. Again, on reflection, do you think that's something  
3 you should have done before stating that the patient was  
4 a long way from being euthyroid?

5 A. I think it was about time to perhaps check it, which  
6 I do say in my notes of 16th or 17th or something  
7 of August. The matter has been considered.

8 Q. Right. You are being asked in the meantime, if we go  
9 over the page, to discharge her again, which you  
10 of course don't do.

11 You do write back saying you don't know what to do  
12 and you write to the GMC. Then we have the fifth  
13 consultation with you which, again, we have to go back  
14 to page 31 for.

15 "Feeling quite well."

16 Do you change her dose on that occasion?

17 A. Well, this is the one where the dose was changed -- no,  
18 the dose stays the same.

19 Q. And on this occasion, I think you do take blood in order  
20 to see what's happening with her levels. Is that right?  
21 If we go to page 42 we'll see the results of that blood  
22 test.

23 A. Yes.

24 Q. Her T4 is outside the reference range at 27.2 and her  
25 TSH is unrecordable. You describe those readings at

1 page 43, when you write to Dr Stewart, as being "on the  
2 high side, but I think quite acceptable, in relation to  
3 her clinical status".

4 Do you stand by that now?

5 A. The Panel have seen the result of reducing it, which was  
6 simply going the wrong direction, again, the patient  
7 said that herself. I certainly stand by that.

8 Q. Again, I'm going to ask you: did you think at any stage  
9 with this patient, having seen those blood results,  
10 "I ought to go and get the opinion of an  
11 endocrinologist"?

12 A. No.

13 Q. You're asked again to discharge and just to finish this  
14 off, page 46, you write back to Dr Stewart:

15 "I do understand your concerns on thyroid chemistry,  
16 but thyroid chemistry can be a good servant but a bad  
17 master, and I could detect no evidence of  
18 hypothyroidism."

19 Then at page 49 your letter, which we have, in fact,  
20 to -- in fact, it has been included, a letter to  
21 Mr Shipway of Radcliffes le Brasseur, where you write:

22 "She is the only patient in 40 years of practice  
23 when the family practitioner has asked that I discharge  
24 her from my care."

25 Was that actually genuinely your belief at that

1 stage or do you think you had forgotten the earlier  
2 events?

3 A. I think it's true. I don't know what earlier event  
4 you're referring to.

5 Q. The previous complaints.

6 A. I think we're talking here -- it says in 40 years of  
7 practice, whereas the family -- where the family  
8 practitioner has asked that I discharge her from my  
9 care.

10 I'm in the aware of that event happening in my  
11 professional life, which is why I wrote to the General  
12 Medical Council seeking advice.

13 Q. If you don't take any note of the TSH tests, can we take  
14 it that you have read the product licence data sheet for  
15 thyroxine?

16 A. I think I've read it. I can't remember what it says.

17 Q. I'm not going to test you on it, as it were, but I'm  
18 going to ask that those be handed out, please. (Handed)

19 THE CHAIRMAN: This will be C8.

20 MR KARK: Levothyroxine tablets. 25, 50, and  
21 100 micrograms.

22 Down towards the bottom of the page,  
23 contraindications:

24 "Thyrotoxicosis, hypersensitivity to any component.  
25 In patients with adrenal insufficiency without adequate

1 corticosteroid cover."

2 Turn to the right-hand side of the page, just down  
3 from the bottom:

4 "Subclinical hyperthyroidism may be associated with  
5 bone loss. To minimise the risk of osteoporosis dosage  
6 of levothyroxine sodium should be titrated to the lowest  
7 possible effective level."

8 Did you abide by that, do you think?

9 A. I haven't found that bit yet, I'm so sorry to delay  
10 proceedings.

11 Q. Six lines or so down from the top right-hand corner of  
12 the page.

13 A. I was looking at the bottom.

14 Q. "To minimise the risk of osteoporosis dosage of  
15 levothyroxine sodium should be titrated to the lowest  
16 possible effective level."

17 Do you think you abided by that caution?

18 A. That caution is not relevant to my patients. It's  
19 subclinical hyperthyroidism.

20 Q. You see, I'm going to suggest that you caused three of  
21 your patients to become hyperthyroid?

22 A. Yes, you've made that clear before.

23 Q. Can we go further down the page, please, right-hand  
24 side:

25 "Undesirable effects.

1           "The following side effects are usually due to  
2           excessive dosage, and correspond to symptoms of  
3           hyperthyroidism. They include arrhythmias, anginal  
4           pain, tachycardia ..." and there are a number of others.

5           Again, did you think that was relevant to any of  
6           your patients?

7    A.   It's relevant to any patient taking thyroid replacement  
8           and one looks for it extremely carefully. As written,  
9           it's slightly circular this, it says:

10           "Corresponds to symptoms of hyperthyroidism."

11           And then they give symptoms of hyperthyroidism.  
12           It's slightly axiomatic what they're saying.

13   Q.   You see, I suggest in reality these patients may have  
14           had many problems, but you weren't actually treating  
15           a thyroid condition, you were giving them thyroxine to  
16           make them feel better. Do you accept that as a premise?

17   A.   I don't, actually, no.

18   Q.   You purported to treat a thyroid condition in the face  
19           of blood tests which plainly revealed that whatever was  
20           the matter with them, their thyroid was actually working  
21           perfectly okay. Do you accept that?

22   A.   I don't accept that.

23   Q.   I appreciate that you say that TSH testing has a very  
24           limited use in your practice. Is that fair?

25   A.   Yes, I would say that is fair.

1 Q. You have quoted from American examples. Do you know the  
2 British Thyroid Foundation?

3 A. Do I know them?

4 Q. Yes.

5 A. I know they exist.

6 Q. Have you ever addressed them?

7 A. Yes. I've invited them to my conference.

8 Q. Right.

9 A. They didn't come.

10 Q. Again, I'm sorry to hear that.

11 Again, I'm just going to cite from their view. Do  
12 you know their view on the importance of TSH testing?

13 A. I think I know most of their principal views, yes.

14 Q. I could short-circuit this, I suppose. There's the  
15 British Thyroid Foundation. There's something called  
16 Thyroid Patient Advocacy. Are you one of the medical  
17 advisers to Thyroid Patient Advocacy?

18 A. I don't think I've been actually asked to advise but  
19 I think I've said I'm prepared to help if they have  
20 medical problems. As far as I can, but ...

21 Q. I think you're down on their website, actually, as one  
22 of their -- the TPA medical adviser. Did you know you  
23 were the TPA medical adviser?

24 A. I think I do. It's an honorary position.

25 Q. Certainly on their website their heading is:

1           "Standard test for thyroid disease, laboratory test  
2           for thyroid disorders need to be carried out."  
3    A.   Sorry, "Standard test"?  
4    Q.   "Standard test for thyroid disease, laboratory test for  
5           thyroid disorders needs to be carried out to check if  
6           your thyroid is working normally, find the fault, (3) to  
7           tell whether the problem is mild or serious, (4) to help  
8           find the correct dosage of any medication, (5) to  
9           monitor progress. No test is 100 per cent accurate."  
10           Do you accept that?  
11   A.   That they have said that? Yes, I'm sure they have.  
12   Q.   Do you accept it's right?  
13   A.   I wouldn't agree with that, no. I wouldn't even  
14           agree -- well, the last sentence. The thyroid tests are  
15           extremely accurate, I think. That's not the issue  
16           we have before us. It's interpretation.  
17   Q.   Dr Skinner, as you appreciate, I suggest that you  
18           allowed patient-led consultations, you ignored the TSH  
19           tests, and I suggest this: the reason you didn't agree  
20           to a performance assessment was because you wouldn't  
21           have passed it by UK standards of endocrinological  
22           competence. That's right, isn't it?  
23   A.   Are you asking me a question?  
24   Q.   Yes.  
25   A.   I don't agree.

1 MR KARK: Thank you.

2 MR JENKINS: I'm going to ask about three matters, if I may.

3 I know the Panel will want to take a break, but if  
4 I re-examine first then the Panel may want to take  
5 a break and think about what questions they want to ask  
6 and it may help them if they have heard from me first.  
7 I will be quite short.

8 THE CHAIRMAN: Yes.

9 Re-examination by MR JENKINS

10 Q. Can I ask you about Patient B. You were asked about  
11 palpitations with Patient B and it was said that this  
12 was the first time she had experienced any palpitations.  
13 If there had been any earlier incidents of palpitations  
14 I would raise it. I'm going to.

15 All right? So I'm going to ask you to turn to  
16 bundle 1, please, the larger bundle, tab 3, page 21.

17 You saw Mrs B in 2003 in January. These are GP  
18 records.

19 A. Tab 3?

20 Q. Tab 3, page 21.

21 A. This is family practitioner's notes?

22 Q. It is. You won't have seen them when you treated Mrs B,  
23 but again the question was: had she ever suffered from  
24 palpitations before? Looking at her GP's note, on  
25 27th July 1992, so just over ten years before you saw

1 her, there appear to be several complaints that have  
2 been raised at that consultation with the GP. The third  
3 one is:

4 "Flutters in chest going on for a month on and off."

5 Yes?

6 A. I think that is what it says.

7 Q. It is query for about half an hour and the GP then  
8 refers to stress of moving house, heart sounds tick,  
9 regular pulse 80 per minute, see in two weeks' time.

10 Yes?

11 On 21st September 1992 the patient apparently has  
12 written a diary of palpitations, quite frequent, each  
13 day, "sometimes single ectopic only", someone has  
14 written. There's then an arrow and we can't see what's  
15 been obliterated in the photocopying because the page is  
16 at an angle.

17 If we then turn to page 49, that I think ties in  
18 with it. There's no letter that relates to this. The  
19 date may assist us. It's the same date,  
20 21st September 1992 as the one of the entry we've just  
21 looked at.

22 Does it appear as if she has had -- was it an ECG?

23 A. Yes, there's an ECG there.

24 Q. It's a measurement of her heart function, yes?

25 A. Yes, the heart, electrical.

1 Q. If we go back to page 21, the doctor's written, of that  
2 ECG I take it:

3 "NAD", no abnormality detected?

4 A. Yes.

5 Q. It appears she had had palpitations for a month in 1992  
6 and then more palpitations between July  
7 and September 1992?

8 A. Yes.

9 Q. Thank you.

10 You were asked about a hoarse voice and we were told  
11 of this patient that at some stage she had complained of  
12 having a hoarse voice because she was inhaling cleaning  
13 products such as Pledge and what have you. I just want  
14 to look at the notes just to see when that was.

15 If you look in the same tab, please, page 25.  
16 There's an entry for 3rd November 1995, in other words,  
17 just over seven years before she saw you.

18 "Query allergic to spray polish", it's not clear  
19 whether the next word is "bothered" or "brother very  
20 allergic to propellent", can't read it, "dust mite  
21 perfume", and there's a reference one line down, not  
22 clear of the first word, but "deep hoarse voice".

23 She's referred -- and if we look at page 79, I think  
24 we'll find what's seen on the referral.

25 Again, the date will help us. We've just looked at

1 an entry for 3rd November 1995. This is a letter from  
2 a doctor, it's the referral letter talking about one of  
3 the cleaning ladies at work insists on using spray  
4 polish. There then appear to be some investigations.

5 If one looks at 105, I think -- sorry, I'm taking  
6 you on to something different. If one looks through the  
7 next few letters one sees she's being investigated.  
8 Page 82 might help. There's follow-up in 1996 where  
9 a consultant physician, Dr Thompson, is asked:

10 "I would be grateful if you or one of your staff  
11 could review this lady who has a problem which I don't  
12 see much of", and there are references to Pledge and  
13 carpet cleaner and other aerosols, but again this was  
14 six years before you saw her?

15 A. That's true.

16 Q. Thank you.

17 Can I turn to Patient C. Patient C, we know, you  
18 took a blood sample from in August 2004, which was the  
19 last time you saw her. We've seen that blood sample on  
20 a number of occasions and we know that it's outside the  
21 reference range.

22 Can I just ask you about your prescribing for  
23 Patient C. We've been through it already. Can I ask  
24 you to look at bundle 1, tab 6, page 8. This is your  
25 notes for 7th August 2004.

1           You told us that, where you have written D in the  
2           top right-hand corner, that is the dose that she said  
3           she was taking --

4   A.   That's what I usually do, write it up.

5   Q.   -- 150 micrograms of thyroxine. 20 micrograms of  
6           Tertroxin and B12. We don't have an indication that you  
7           were prescribing for her from that day and you've told  
8           us, and we've seen the records from Dr Summers at the  
9           practice in Pimlico in London?

10  A.   That's correct.

11  Q.   If you go on, please, to page 11 you've been asked about  
12           Norwich Union and a private medical insurance claim.  
13           The letter that we have at page 11 of tab 6 is a request  
14           for you to indicate matters about Patient C. You were  
15           asked about details of all treatment that have been  
16           carried out to date and what further treatment is  
17           proposed. Your reply is at page 14, in response to the  
18           numbered paragraph number 3, where the question is for  
19           you to give details of all treatment that has been  
20           carried out to date. You indicate:

21           "Thyroid replacement using thyroxine and Tertroxin  
22           and oral B12."

23           Did you prescribe her with Armour Thyroid?

24  A.   Until the August -- the Armour Thyroid?

25  Q.   Armour Thyroid, yes?

1 A. No, no.

2 Q. Can you turn please to the other bundle, because again  
3 we've had mention of it. Bundle 2, it's tab 2, page 2.  
4 This is the patient registration document that Patient C  
5 completed to go to the other practice surgery where  
6 Dr Ince and Dr Cundy were practitioners. It's the  
7 Wimbledon Village Surgery and it's completed on  
8 31st August 2004, so three weeks or so after you last  
9 saw her.

10 A. Yes, that would be right.

11 Q. We see she's a lawyer as her occupation, so it's  
12 possible she's highly intelligent.

13 She is on three forms of medication: one is  
14 thyroxine, one is Tertroxin, and the other is Armour  
15 Thyroid. That's what she has put as the medication that  
16 she's on. Did you prescribe Armour Thyroid?

17 A. No.

18 Q. There's no indication of when she was taking it or how  
19 she got it, but if I ask you to turn back to the other  
20 bundle, please, tab 5, these are the GP records.  
21 We went through these with Dr Ince, you will recall.  
22 The first page of this bundle, we know that she was  
23 registered with the practice the day after the document  
24 we've just looked at, 1st September 2004, and various  
25 readings, body mass index, weight and what have you were

1 put in.

2 We then have, a third of the way down the page for  
3 the same date, 1st September 2004, patient registration,  
4 so that is when she registered, and the address of the  
5 previous practice in Pimlico Road.

6 Dr Ince told us about the consultation she had on  
7 7th September. In the middle of the page there's an  
8 entry:

9 "Had to chat to patient. Seeing private consultant,  
10 Devonshire Place. Not sure if happy with treatment. On  
11 150 micrograms of thyroxine and two others to potentiate  
12 effect?"

13 Were you prescribing her with two other drugs?

14 A. I wasn't prescribing at all at this stage.

15 Q. We know that a blood test was done and we've seen it.  
16 If she had been taking Armour Thyroid leading up to the  
17 blood test being taken, might one anticipate that that  
18 would have an effect on the reading?

19 A. Yes, interpreting thyroid chemistry on a patient taking  
20 Armour Thyroid isn't the easiest thing in the world  
21 because the patient's taking thyroxine, Tertroxin, which  
22 tends to depress T4 readings, or can. There may be some  
23 dioidal compound there. So it could well do.

24 It is quite a difficult task, it's one of the  
25 reasons, I think, why -- one of the reasons

1 practitioners don't like using it.

2 Q. Can I ask to you turn back to tab 6, please, page 9.

3 This is your letter to the patient on 1st September.

4 You told us you knew she was changing GP and, indeed, it

5 was that very day that she registered with her new

6 practice. But you've said to the patient:

7 "If you are planning any of your increasing

8 strategies then perhaps you would let me know."

9 Just tell us: why did you put that to this patient?

10 A. I put it -- I mean, I prefer if patients don't fiddle

11 about. I know I have indicated to the Panel I don't go

12 berserk with rage and send the patient away. Basically,

13 I'm saying to her, which is quite reasonable, "Please

14 let me know what you're doing". And, indeed, that

15 advice seems to fall on stony ground because, after she

16 left my practice, she seems to have taken Armour Thyroid

17 in some way.

18 Q. It's not clear when she took it, I think, from the

19 documents we've got?

20 A. That's true.

21 Q. She was saying she was taking it, on present medication,

22 on 31st August.

23 You knew nothing about it?

24 A. Nothing whatsoever.

25 Q. Right. The third matter I want to ask you about is this

1 survey you referred to. Can I just ask you how it was  
2 conducted.

3 A. I knew because of this Fitness to Practise hearing,  
4 I actually wrote to, I think, about 580  
5 endocrinologists.

6 Q. We heard Professor Weetman say that there are 200  
7 endocrinologists in his organisation. You said 580.  
8 Are they all practising in the UK?

9 A. This is England, Wales and Scotland.

10 Q. Right.

11 A. I asked them -- this is not giving an example of the  
12 usual practice, because that is an impossible question  
13 for someone to answer. I asked them if they had ever  
14 treated a patient in three different ways, once when the  
15 FT4 was low and the TSH was normal, and 95 per cent said  
16 they had done it on one occasion.

17 MR KARK: Are we going to hear any evidence to back up that  
18 assertion?

19 MR JENKINS: I know Dr Skinner has put it in tabular form.

20 MR KARK: No, my learned friend knows my objection on this  
21 and it was quite apparent when I was cross-examining  
22 Dr Skinner. If he wants to call any any endocrinologist  
23 to back up that assertion he's perfectly able to do so,  
24 but introducing it in this way by the back door, which  
25 is frankly not cross-examinable, is inappropriate.



1 I couldn't establish the nature of the complaint, the  
2 complainant, and which patients -- this was probably the  
3 most important part -- were the subject of these  
4 complaints.

5 So I didn't know either of those three factors. My  
6 view was it was a little unreasonable to base  
7 an invitation to a performance assessment on such  
8 a paucity of information to me.

9 Q. Thank you. I want to talk now about your career,  
10 really, the career path you've taken. Initially,  
11 you were an educationalist, that is right, is it not,  
12 after your house officer posts?

13 A. An educationalist?

14 Q. Yes.

15 A. Um ...

16 Q. You explain to me what you were. That would be  
17 a better ...

18 A. Right. I was at the University of Birmingham. Well,  
19 University of Glasgow and qualified in medicine and then  
20 carried out about three years of what's called house  
21 jobs. One year of that is pre-registration and then  
22 a couple of years of that would be post registration.  
23 Essentially, a sort of senior house officer level.

24 Then I became a research fellow at the University of  
25 Birmingham with an honorary registrar position in

1           obstetrics so I continued to do that.

2   Q.   So in the research fellow post after your house posts,  
3           how much patient contact did you have?

4   A.   I would -- I did about two outpatient clinics, and was  
5           on call for Birmingham Maternity Hospital, in the  
6           registrar level, once a week.

7   Q.   Right.  So you did two outpatient clinics a week?

8   A.   Yes.

9   Q.   And did you do that right up until 1980, until you left  
10          that post?

11  A.   Yes, it would be about then.  And then I gradually  
12          tailed that off because of other heavy commitments.

13  Q.   And when did you gradually tail that off?

14  A.   I'm trying to get the dates clear in my mind, I think  
15          it would be about 1978/79.

16  Q.   If we say 1979 onwards, how much patient contact did you  
17          have then?

18  A.   What then happened was, I developed this interest in  
19          sexually transmitted diseases and I would have one  
20          clinic a week in relation to that.

21  Q.   And you carried on with that level of contact until?

22  A.   That I phased out really when my interest in thyroid  
23          problems -- I got an increasing interest in thyroid  
24          problems.  So that, I think, would be more or less  
25          completed in early 2000.

1 Q. So you are saying you stopped those clinics around 2000?

2 A. Yes.

3 Q. And from that point, when you became interested in  
4 thyroid conditions, did you undertake any further  
5 training to help you develop your practice and expertise  
6 in that area?

7 A. I didn't undertake any specific training, no.

8 Q. So you went -- did you undertake any training around  
9 clinical skills, examination?

10 A. I didn't.

11 Q. Did you feel that you didn't need to?

12 A. I perhaps mistakenly thought I was getting a bit long  
13 in the tooth for that to be perfectly honest. I had  
14 been round the track a long time.

15 MRS WHITEHILL: Thank you.

16 THE CHAIRMAN: Mr Payne?

17 MR PAYNE: Good afternoon to you, Dr Skinner. I have a few  
18 questions and I'll try to be as brief as I possibly can.

19 As you can appreciate, we have had evidence over two  
20 days and my questions may feel to you as though I'm  
21 jumping about a bit, but it's as they've come up to me.  
22 Please bear with me if they're not in chronological  
23 order.

24 I think this was answered, but I'm not 100 per cent  
25 certain so I will ask the question again. How many

1 patients do you see per year?

2 A. I do approximately 1,000 consultations per year.

3 Q. Right. Let me break that down and say how many new  
4 patients a year do you see, approximately?

5 A. 100 to 150. That kind of level.

6 Q. How do they fall? Do the majority of your 100 new  
7 patients fall within the accepted reference area?

8 A. Do you mean within it?

9 Q. Yes.

10 A. Yes, most of these would, but not exclusively but any  
11 manner of means.

12 Q. So some fall within the reference area and some fall  
13 outside of the reference area?

14 A. Yes.

15 Q. Would it be 50/50?

16 A. I would say more 66/33 really. There would be more  
17 patients within it in one of the tests than out of it.

18 Q. I see. Of the ones that are outside the reference  
19 range, you instigate a course of treatment for them.  
20 Where do you try to get their readings to be?

21 A. It's not my predominant aim at all to get the readings  
22 to any given point. My predominant aim is for the  
23 patient to one day say, "I feel fine, with no residual  
24 clinical features".

25 Q. Really your reliance on the reference range, correct me

1           if I'm wrong, but in my opinion, is very small, you have  
2           no real reliance on the reference range whatsoever?

3    A.   I think maybe "whatsoever" is a wee bit strong, but  
4           you're quite right, it's how the patient feels.  I know  
5           I've said that to people many times, I'm sorry.

6    Q.   Your major reliance is on the way that the patient  
7           speaks to you, tells you the symptoms?

8    A.   Yes.

9    Q.   How do you treat someone who has difficulty  
10           communicating with you, perhaps can't communicate their  
11           feelings well, perhaps is not physically able to  
12           communicate with you?  How would you take a measurement  
13           of that?

14   A.   It's part of my job to make sure that they do  
15           communicate or I can get as much out of that as I can.  
16           I think most patients can communicate that they don't  
17           feel right and what they feel wrong.  I don't know if  
18           you're referring to language problems in Birmingham,  
19           perhaps.  That can be a problem.  Sometimes you do need  
20           an interpreter, which does make it quite a difficult  
21           task.

22   Q.   That was one aspect I was considering.  I was also  
23           thinking of some physical aspect or perhaps the ability  
24           to communicate for whatever other medical reasons,  
25           people like that.  If you would like to comment.

1 A. It doesn't seem to arise -- I'm just trying to be honest  
2 with you -- terribly much. Some patients are quite --  
3 I know there are patients in the room -- quite sort of  
4 "rambly" and they're feeling very frustrated with some  
5 of their previous consultations, I'm not criticising  
6 colleagues and they have so much to get out, that can  
7 sometimes be a difficulty to sometimes extract the facts  
8 from quite a long, sometimes unfocused, consultation.  
9 I hope I'm answering your questions, sir.

10 Q. Yes.

11 A. I'm not sure.

12 Q. Yes, you are answering my questions. I'm fine with your  
13 answers. But if there's someone like that then would  
14 you use a blood test to try and ...

15 A. Oh, I think it has -- I don't recall a situation where  
16 it's been to that extent. I have to tell you that you  
17 can get a fairly decent idea. Even with the Commission  
18 of Healthcare -- I'm not registered to see children  
19 nowadays in England, but even with young children  
20 I think ... well, there's mum but even when mum doesn't  
21 get involved in the consultation, I think you can get  
22 a pretty good idea. And also with very old people.  
23 I do understand, a patient might have Down's syndrome,  
24 there are some patients where there are genuine problems  
25 but that does not seem to have arisen very much. I'm

1 not evading your question.

2 Q. I accept that. What worries me slightly is, you may get  
3 someone come to you who can't communicate too well and  
4 the communication could be for -- they may tell you some  
5 symptoms but it might be because they have got  
6 depression, it may be because they have something else,  
7 and I'm saying that the other side of the argument, the  
8 other side of the debate would say that they can tell by  
9 taking a blood test. How would you --

10 A. If a patient was -- I can hardly think of an example,  
11 but if there was no way of getting a history and no way  
12 of examining the patient, I would certainly -- you've  
13 only one port of call, which would be the blood test and  
14 you would act on that, if that very unusual situation  
15 occurred.

16 Q. Then the question is, Dr Skinner, what if the blood test  
17 falls within the reference range?

18 A. I think in that instance, if the patient couldn't  
19 communicate you could still examine the patient. So  
20 a situation where you have just a blank sheet and no way  
21 of taking the pulse, looking at the tongue, is very  
22 unlikely to arise. I think you are asking me really if  
23 you never saw the patient and you had a series of blood  
24 tests, could you come to a decision then? It's hard to  
25 envisage the situation, but in that case you would have

1 no choice but act on your best guess on the blood test.

2 Q. I think you told us that there are some people who would  
3 fall, if there's a blood test taken, outside the  
4 reference range but yet they'd feel perfectly well,  
5 they'd feel okay, they wouldn't know, I think that was  
6 part of your evidence.

7 A. Yes.

8 Q. How would we know? Why would we take that blood test?

9 A. Well, I wouldn't really see patients that are feeling  
10 all right in any case. But I think that was what  
11 Professor Weetman was alluding to and if, for example,  
12 the TSH was raised and the patient felt all right, that  
13 would probably come into the diagnosis of sub-clinical  
14 hypothyroidism which hasn't really obtained in our  
15 indications or perhaps shouldn't have.

16 In that case there is a debate and a very sensible  
17 debate because it's quite a difficult problem, to know  
18 whether the patient should be treated at that stage.  
19 And I think you asked Professor Weetman on that issue  
20 because there is evidence that the higher the TSH in an  
21 asymptomatic patient, the more likely will that patient  
22 develop hypothyroidism in the future.

23 Now, it's not part of my clinical practice de facto  
24 because people don't come to me if they're feeling all  
25 right, or won't be referred, but in that instance

1 I think there is reasonable debate whether to treat the  
2 patient or not.

3 Q. Just bear with me a second. (Pause)

4 Let me ask you this anyway: is it your belief that  
5 each individual has their own reference range and the  
6 actual reference range that everyone else goes by is not  
7 really an ideal measurement because each individual  
8 patient has their own reference range; is that your  
9 argument?

10 A. Well, I suppose the reference range sort of implies that  
11 you've taken a reasonable number of people and worked  
12 out that 95 per cent lie within a given value.

13 So an individual patient can't really have  
14 a reference range unless you're talking about the daily  
15 variation. An individual patient, of course, will have  
16 a kind of a widely varying starting level of thyroxine.  
17 That is indeed one of the possible criticisms of  
18 overreliance on thyroid chemistry.

19 Q. But two thirds of your patients lie within the reference  
20 range?

21 A. Yes, indeed, but I feel that these were hypothyroid  
22 patients by and large -- or almost all.

23 Q. And some people who are outside the reference range are  
24 hyperthyroid?

25 A. Are hyperthyroid?

1 Q. Yes.

2 A. If they have symptoms --

3 Q. But well, if you understand me --

4 A. Exactly and if, indeed, you were a staunch believer in  
5 reference intervals it is curious that colleagues who  
6 are such aficionados of reference intervals don't apply  
7 the reciprocal argument to someone who -- I think you  
8 raised the point -- who might be a little bit outside  
9 the reference interval.

10 They may say you cannot be hypothyroid if you're  
11 within it, but they don't say you must be hyperthyroid  
12 if you're out of it, which is an argument that has been  
13 put up a number of times in these discussions.

14 Q. When the patients come to see you, do you explain to  
15 them your method of symptom diagnosis and your lack of  
16 reliance on the reference range?

17 A. Yes, I do. They usually know it before they come, mind  
18 you, I would think. Patients are very well-informed  
19 these days.

20 Q. But most of your patients come through a referral?

21 A. Yes. No, not most, all now.

22 Q. But even in the past did most of them come through  
23 a referral?

24 A. Oh, a vast, vast number. It's a rarity patients don't.  
25 I understand the Panel might have had a slightly

1 contorted view from the four patients under discussion.  
2 But the whole practice depended on referral from family  
3 practitioners or other medical, registered medical  
4 practitioners.

5 MR PAYNE: Just bear with me a second. (Pause)

6 That is all the questions I have for you,  
7 Dr Skinner. Thank you very much.

8 THE CHAIRMAN: Dr Elliot now.

9 DR ELLIOT: Good afternoon, Dr Skinner. I want to ask you,  
10 first of all, if you have any written information that  
11 you give to patients coming to your clinic?

12 A. Yes, we do, and I think ...

13 Q. I think it's probably in the -- if I've got the right  
14 place -- file C2, tab 4, page 92, something which is  
15 headed "The Patient's Guide".

16 A. Yes, indeed. Should I try to find this, Dr Elliot?

17 Q. It might be helpful if you could just confirm that.

18 A. Tab 3?

19 Q. Sorry, tab 4. File 2, tab 4, and it's page 92. It's  
20 amongst all the bundles of protocols and so on that you  
21 produced for the Commission of Healthcare.

22 A. Yes, the patients receive this.

23 Q. It says, the first sentence says:

24 "This guide is available to any patient or party."

25 Is it actually available or is it given to people

1           who attend your clinic?

2    A.   It's laid out as one of the documents that every patient  
3           gets.

4    Q.   How long has that been the case?

5    A.   Really since the Commission of Healthcare register as  
6           part of their ongoing advice.  It's been very helpful.

7    Q.   I was not able to find anything about complications of  
8           thyroxine in this patient's guide.  Could you confirm  
9           that it doesn't deal with that aspect?

10   A.   No, the patient's guide doesn't have that in it.

11   Q.   Do you have any other written information that you give  
12           to patients that contains advice about potential  
13           complications of thyroxine treatment?

14   A.   Yes, I think we do, but I'm not sure where it is, to be  
15           honest with you, at the minute.  I don't think we do.  
16           I'm not sure that that would be a usual way to practise  
17           medicine, to write what might happen to you if you get  
18           a certain type of medication.  I'm a little bit  
19           ambivalent on that one.

20           In complaints management, you will see some  
21           reference to that, the patients get that as well, which  
22           does give some general guidance on that.  I could  
23           certainly incorporate that if that was felt to be  
24           useful.

25   Q.   Okay, thank you.  I have just have some general

1 questions now about this whole kind of issue. I want to  
2 ask you first of all, what happens if you give thyroxine  
3 to somebody who is not hypothyroid, who has normal  
4 thyroid function, as you would define it?

5 A. I.e. a patient who feels perfectly well?

6 Q. Yes.

7 A. Well, one doesn't do this, it would only happen by  
8 chance. It used to happen many years ago. Nurses used  
9 to take thyroxine when we worked in hospitals so that  
10 they would be rather jollier at the dance. It didn't  
11 work. But the answer to your question, I think, is: not  
12 much initially. Certainly at 25 micrograms a day, maybe  
13 50. Patients will vary enormously with body weight and  
14 various other factors.

15 What would essentially happen is they would  
16 eventually start to develop signs of thyrotoxicity and  
17 we've discussed these symptoms and signs, and it will be  
18 obvious what is the matter.

19 Q. Sorry, I didn't quite understand what you said there.  
20 Did you say nurses used to take it to make them jolly  
21 at the dance?

22 A. That's what they said. This is some years ago.

23 Q. Right. Then perhaps related to that, I would just like  
24 to go to page 157 of your book. I know that you're  
25 talking about -- I'll give you a minute to find that.

1           You're talking here about the effect of thyroid  
2           preparations and you say:

3           "I can see no particular reason to disbelieve that  
4           there cannot be some direct effect on the psyche of  
5           Tri-iodothyronine."

6           Which, of course, is the active -- the way in which  
7           T4 works?

8    A.    Yes.

9    Q.    Could you enlarge on that a bit? Do you think that T3,  
10          or T4 when it's been converted into T3, has a direct  
11          effect on the psyche?

12   A.    I started thinking it didn't and couldn't, and that was  
13          certainly the teaching from endocrinological colleagues  
14          and books. But I've been struck by a number of  
15          patients, they don't say they have become jolly or  
16          anything like that or euphoric or something or like  
17          amphetamine or something, but some patients do say, in  
18          my experience, that perhaps two or three hours after  
19          taking, particularly Tertroxin, which has a quicker  
20          half-life and so on, they can start to feel a sort of  
21          benefit.

22          This is what some patients say. A few patients say  
23          that quite soon after taking thyroxine, but one  
24          understands there is a possibility of a placebo effect  
25          in these circumstances.

1 Q. I think you heard Professor Weetman and Mr Lynn --  
2 Mr Lynn from his own personal experience -- talking  
3 about the wellbeing effect of being hyperthyroid.  
4 Postulating that this was a possible reason why patients  
5 liked taking thyroxine and felt better for it?

6 A. Yes.

7 Q. Whatever the reason for their not feeling well, they  
8 felt better if they took thyroxine. Could you comment  
9 on that, in the light of the remarks that you've just  
10 made?

11 A. Yes. I think here we're talking not a minute or two or  
12 an hour later, I would presume Professor Weetman and  
13 Mr Lynn's statement was about.

14 I don't agree with this and I don't know if  
15 Professor Weetman or Mr Lynn were suggesting that these  
16 patients had signs of thyrotoxicity. If you become  
17 thyrotoxic you don't feel well at all, you feel jittery  
18 and out of sorts, and I don't think I could go along  
19 with that view.

20 Q. But the patients that we are talking about, the four  
21 patients that we are talking about, were not clinically  
22 thyrotoxic, were they?

23 A. No, exactly, that's the point I make.

24 Q. They were not clinically thyrotoxic by your own  
25 definition, and I don't think there was any real

1 evidence that any of them was.

2 A. No.

3 Q. Let me phrase this in a way that is acceptable to you.

4 Their thyroid tests were outwith the reference range and

5 they have been described by others as being

6 biochemically thyrotoxic.

7 A. Yes, they have.

8 Q. Could the fact that you felt these patients -- the

9 patients told you that they felt better, do you think

10 that could have been explained by the effects of thyroid

11 hormone?

12 A. I don't --

13 Q. On an elevated level of thyroid hormone?

14 A. I don't agree with that at all. It seems a very

15 convoluted torturous explanation for something which is

16 much more simply explained.

17 Q. Okay. I just want to ask you now about blood tests and

18 I actually think that you've already answered -- I think

19 Mr Kark phrased this to you in this form. I'll just put

20 it again very briefly. In relation to patients B, C and

21 D, and the charges about them being rendered

22 biochemically thyrotoxic, which you have not admitted,

23 I believe you to have said that you did admit that the

24 blood tests were outwith the reference range.

25 Is that --

1 A. I have admitted that from square one.

2 Q. Then again in relation to blood tests, this is something  
3 that you say in your book on page 147:  
4 "Blood tests are a grand waste of time, energy and  
5 money."  
6 Which may have been, I accept, overdramatising  
7 things.

8 A. A little arresting.

9 Q. I'm not quite clear in my mind why you do blood tests at  
10 all.

11 A. I think in the context of this I was talking about  
12 treatment, if I might have just one second, while a  
13 patient is under treatment, I haven't ... here we are,  
14 yes. That slightly avant-garde statement, "attempting  
15 to be arresting", is talking about patients on treatment  
16 where I think thyroid chemistry is not particularly  
17 useful.

18 But I have said that in a patient who comes there  
19 are many -- if you suspect a patient's hypothyroid on  
20 clinical grounds and you find a TSH of 16, it would be  
21 a foolish man indeed that would not rethink the problem.  
22 So I take thyroid chemistry -- I take cognisance of it  
23 and consider it in conjunction with the clinical  
24 features.

25 Q. Could I just challenge you about that, because you say

1           you take cognisance of it but you do thyroid function  
2           tests during treatment, you don't just do them at the  
3           diagnosis.

4   A.   Yes, I do.

5   Q.   Why do you do them if you don't think that they're -- if  
6           you don't think that they're worth the time, energy and  
7           money and don't take cognisance of them?

8   A.   Well, for example, if you're treating a patient and the  
9           TSH stays the same or goes up, this would alert you to  
10           that there might be something not quite right in the  
11           state of Rome. For example, the patient might not be  
12           taking the medication. That's the common sense use of  
13           it.

14                 Similarly, if for some reason you're giving the  
15           patient thyroxine and the free thyroxine reading's going  
16           down, you would wonder if the patient was taking the  
17           medication or, conversely, if it was shooting up it  
18           might raise the possibility that the patient, as we have  
19           seen with Patient C, putting her own dose up perhaps  
20           intemperately.

21   Q.   Now, I've got some specific questions about patients and  
22           I'm really sorry if I jump about a bit.

23                 The first one is about Patient C and B12 deficiency.  
24           This relates to charge -- it's a specific question  
25           I have. It is a charge that you have admitted. It's

1           23a, charge 23a, and although you have admitted this  
2           I think that when you were giving your evidence  
3           yesterday you faltered a little about that particular  
4           admission and couldn't -- you seemed to be questioning  
5           about whether you had actually admitted it or not so  
6           I would like to take you through that if that's  
7           possible.

8    A.   23a?

9    Q.   23a, which is that you suspected a diagnosis of B12  
10       deficiency in Patient C. The only mention of B12 that  
11       I can find in Patient C's records is in file C1 at  
12       tab 6, page 8.

13   A.   Yes.

14   Q.   That is in the top right-hand corner of your notes.  
15       I'll give you a minute to find that.

16   A.   Yes, I've got it.

17   Q.   It's ID, which means that is what the patient is  
18       taking --

19   A.   Correct.

20   Q.   -- at the time of the consultation?

21   A.   That is correct.

22   Q.   And I think that you said in evidence this morning that  
23       you thought the patient was taking this off her own bat.

24   A.   Yes, she was.

25   Q.   I can't find anything in your record to suggest that you

1           advised it; I can't find anything in your record to  
2           suggest that you suspected B12 deficiency; and I can't  
3           find anything in the record to suggest that you  
4           mentioned this to the GP as a clinical possibility or  
5           that the GP should investigate it.

6    A.   That is correct. I am not quite sure why I admitted  
7           something there. I'm grateful to you.

8    Q.   I wasn't quite sure either. That's why I thought  
9           I should run that past you.

10   A.   Yes, thank you, that is very kind of you. I don't know  
11           why I admitted that. There hasn't been a mistake  
12           somewhere?

13   MR JENKINS: Can I say there's one other reference on  
14           page 14.

15   DR ELLIOT: Right. Yes, but that is just what she's taking?

16   A.   Yes.

17   Q.   It's not -- it is just for information to the insurance  
18           company.

19           So I really couldn't find any evidence in the  
20           written record that you had diagnosed this or suspected  
21           it, that you had prescribed for it, or that you had --  
22           and I couldn't work out why you had admitted it.

23   A.   I don't know myself. I'm grateful to you for spotting  
24           that. I certainly never started this patient on B12.

25   Q.   Okay. The next patient I want to ask you about is

1 Patient D and again this is about the prescription of  
2 B12.

3 In this patient, you do seem to have advised that  
4 she took B12, I would direct you to C1, T8, page 27.  
5 It's under your RX that you advised B12.

6 A. Yes, on 18/11/04, I did do that.

7 Q. Now, one of the arguments I think that you put forward  
8 for not carrying out laboratory investigations in  
9 patients in whom you suspected B12 deficiency was that  
10 the GP was informed of your suspicion.

11 I can't find any evidence in Patient D that you did  
12 actually inform the GP that you suspected B12  
13 deficiency.

14 A. No, that's correct, I haven't done.

15 Q. You haven't done that in this case? You can confirm  
16 that. Again, Patient D, and this time it's on page 14  
17 of the same section, section T8, and this is just for  
18 clarification, this is a letter that you wrote to the  
19 doctor. Section 8, page 14.

20 A. Is that the end of my letter?

21 Q. It is. It's the top line.

22 A. Yes. Thank you.

23 Q. "If the blood tests do not show anything  
24 au contraire ..."

25 What -- this is the thyroid function testing we're

1 talking about?

2 A. Indeed.

3 Q. What sort of contraindication would you have regarded in  
4 those results which would have meant that you wouldn't  
5 have prescribed thyroxine?

6 A. This is one of the good reasons for doing the blood  
7 test. If a patient seemed hypothyroid to the best of  
8 your clinical judgment, let us say not dramatically so,  
9 and the free thyroxine level came back at astronomical  
10 levels or even very high levels, I think you would be  
11 foolish to not put a higher level of scrutiny on your  
12 clinical diagnosis, you would be thus guided and helped  
13 by your blood test.

14 DR ELLIOT: Thank you.

15 THE CHAIRMAN: Thank you, Dr Skinner. I shall be brief  
16 because we're having to finish at 5.00. Could you tell  
17 me, if you give thyroxine to a healthy thyroid gland,  
18 what happens to it?

19 A. To a patient with --

20 Q. To a patient with a healthy thyroid gland.

21 A. You will gradually suppress the endogenous activity of  
22 the thyroid gland.

23 Q. And the thyroid shrivels, does it, does it become  
24 atrophied?

25 A. Yes, it will atrophy. However, the whole thing will

1           recover if you stop the thyroid replacement. I have  
2           seen that in quite a number of patients.

3    Q.   Over what period of time, can you give me some guidance?  
4           If you treated someone with thyroxine and there was  
5           atrophy within the thyroid gland, for five or ten years,  
6           would it recover?

7    A.   I haven't, of course, done pathology on it. My only  
8           evidence on this would be sometimes patients after 30,  
9           40 years, for some reason or other, perhaps through  
10          persuasion, perhaps just forgetting, and stop taking it,  
11          the thyroid seems to recover in terms of thyroid hormone  
12          tests. That has been my observation.

13   Q.   Has that happened in any of your patients? Have you  
14          suggested to any of your patients that they stop?  
15          Would you then have followed them up if you felt it  
16          wasn't necessary to go on giving them thyroxine?

17   A.   Yes. There are certainly patients who are not improving  
18          and I've certainly stopped it. I've sometimes stopped  
19          it in patients who feel strongly or have been perhaps  
20          slightly persuaded that this is a dangerous medication.  
21          That's one set of patients. But I myself -- if it seems  
22          the patient's having no improvement then I would  
23          certainly stop it.

24                I can think of patients who have turned out -- one  
25                patient was diabetic and not hypothyroid. I can

1 remember that patient very clearly.

2 Q. Thank you. We know that the signs and symptoms of  
3 hypothyroid are similar to those of depression and I'm  
4 not going to through tabs, et cetera, but we know that  
5 out of these four patients depression has been  
6 mentioned, there was a Beck test, et cetera.

7 A. Yes.

8 Q. Now, I just wonder. In all of the papers I've read so  
9 far, you very seldom mention depression. What is your  
10 feeling about depression, either separately or combined  
11 with hypothyroidism?

12 A. In the generality of the point, depression will almost  
13 always accompany hypothyroidism because I cannot  
14 envisage a patient who will be happy if they have  
15 hypothyroid features.

16 The second part of your question: I don't feel quite  
17 so unconfident or nervous that a proper clinical history  
18 will not discriminate to. For example, you're not going  
19 to get an enlarged tongue inter alia if you have  
20 depression and so on. I think there are features and  
21 the combination of features would exclude, in most  
22 practitioners taking a careful history, that the patient  
23 was fundamentally depressed and not hypothyroid.

24 There are characteristic appearances. There are so  
25 many features. I don't feel personally -- I respect

1 colleagues' views, but I don't feel that's a major  
2 problem.

3 Q. Thank you. Again, we can refer mainly to Patient D  
4 if you like, but within the allegations on each patient  
5 it has been suggested that your history taking is  
6 inaccurate, as is your examination.

7 A. Yes.

8 Q. We have had two slightly conflicting opinions on this  
9 from the experts and I just want to ask you -- I have  
10 a little bit of medical knowledge or practice, but  
11 record taking I think, as we know, is important, it's in  
12 good medical practice, et cetera. Would you say that  
13 your history taking on the initial visit of the  
14 patients, and sometimes, remember, some of these  
15 patients have come without referral?

16 A. Yes.

17 Q. So you have nothing to go on other than what the patient  
18 says to you. Do you feel that perhaps the history  
19 taking is somewhat askance and perhaps -- how do you  
20 feel about your history taking on a initial visit?

21 A. At the time I must say I thought it was doing the job,  
22 but I'm quite receptive to input from colleagues and I'm  
23 quite prepared to look at this and write fuller notes on  
24 patients. I'm quite open to that.

25 Q. I'm going read to you from Professor Weetman, his

1           comments on Patient D and your history taking,  
2           et cetera:

3           "There is no record of clear family history or past  
4           medical history that although the patient was taking  
5           medication, Dr Skinner has not recorded in his notes  
6           what dosage of medication the patient was actually on."

7           And it goes on:

8           "It was incomplete in the context of a patient who  
9           has had multiple aches and pains and tiredness. There  
10          is no record of any neurological, respiratory system,  
11          muscular skeletal system or abdominal system  
12          examination, and the examination of the cardiovascular  
13          system was inadequate."

14          Could you comment on that for me?

15    A.    Yes, ma'am. There are quite a number of things to  
16          comment on there. Taking the last point, the  
17          examination, I do always listen to the heart.  
18          If I don't find anything abnormal, I don't write  
19          anything down. That might be a matter I could improve.

20          If the history does not suggest it, I don't think  
21          it is necessary, particularly in a second referral --  
22          and I take the point absolutely that this was not for  
23          some mild reasons the patient had not been referred.  
24          I certainly don't think most practitioners would do  
25          a neurological examination; I would be absolutely

1           staggered if they said they did.

2           Unless there's a reason, and I do it very  
3           frequently, I wouldn't normally do an abdominal  
4           examination. I'm not quite sure what the practice among  
5           my colleagues would be on that. I think one of the  
6           shortfalls is I don't write down everything I ask, and  
7           that a matter which I would be prepared to improve.

8    Q. Do you feel that this comment by Professor Weetman was  
9           legitimate or otherwise in your case?

10   A. I think it has directed attention to some matters that  
11           could be improved. It seems a little bit severe.

12   Q. Thank you. I just have one more. It's Patient D.  
13           We don't have to go it, I don't think, unless you feel  
14           it necessary. Tab 8, 31:

15           "The patient is feeling well but you increased the  
16           dose."

17           Now, just in general, is there a reason why you  
18           would do that with any patient?

19   A. Yes, I think this may again be the same criticism.  
20           Perhaps I should write: feeling well but not yet feeling  
21           100 per cent. Feeling well means she's kind of all  
22           right, but it doesn't say we're right there yet. Again  
23           I do acknowledge it's something of a shortfall in my  
24           note keeping.

25   Q. Monitoring. You basically monitor your patients,

1 am I right, by appearance and by the patient's comments?  
2 Is that how you basically judge the patient's wellness  
3 or ill health?

4 A. Yes, but I do take blood tests, as I hope has been  
5 obvious to the Panel. Fundamentally, the most important  
6 thing is what the patient says to you when she comes to  
7 see you.

8 THE CHAIRMAN: Thank you very much. The next stage is  
9 questions from Mr Jenkins and Mr Kark. It is 5.00 and  
10 someone has to leave by 5.00. Would it be best then to  
11 have Dr Skinner as a witness again tomorrow morning?

12 MR KARK: I do have a few questions arising, I'm afraid.  
13 I'm sorry if it puts Mr Jenkins in difficulty because  
14 I know he can't speak to Dr Skinner, but there are just  
15 a few questions and I am uncomfortable asking them now  
16 if a Panel member has to leave.

17 THE CHAIRMAN: That is fine. Dr Skinner, tomorrow morning  
18 at 9.30.

19 A. Thank you.

20 THE CHAIRMAN: The same for everyone. Thank you.

21 (5.03 pm)

22 (The hearing adjourned until 9.30 am on  
23 Thursday, 12th July 2007)

24

25

DR GORDON SKINNER (continued) ..... 2

1		
2	Cross-examination by MR KARK .....	2
3	Re-examination by MR JENKINS .....	153
4	Questions from THE PANEL .....	162
5		
6		
7		
8		
9		
10		
11		
12		
13		
14		
15		
16		
17		
18		
19		
20		
21		
22		
23		
24		
25		

